

UNITED STATES OF AMERICA

+ + + + +

DEPARTMENT OF HEALTH AND HUMAN SERVICES

+ + + + +

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR DRUG EVALUATION AND RESEARCH

+ + + + +

DIVISION OF ONCOLOGY DRUG PRODUCTS

ONCOLOGIC DRUGS ADVISORY COMMITTEE

+ + + + +

54TH MEETING

+ + + + +

THURSDAY

SEPTEMBER 18, 1997

The meeting took place in Versailles Ballrooms I and II, Holiday Inn Hotel - Bethesda, 8120 Wisconsin Avenue, Bethesda, MD, at 1:00 p.m., Janice J. Dutcher, MD, Chairman, presiding.

PRESENT :

Janice J. Dutcher, MD, Chairman
Jannette O'Neill-Gonzalez, MHS, Executive Secretary
David H. Johnson, MD, Member
James Krook, MD, Member
Kim A. Margolin, MD, Member
Robert Ozols, MD, PhD, Member
Derek Raghavan, MD, PhD, Member
Richard L. Schilsky, MD, Member
Richard M. Simon DSc, Member
Sandra Swain, MD, Member

PATIENT REPRESENTATIVE PRESENT :

Kenneth Giddes

CONSUMER REPRESENTATIVE PRESENT :

Desmar Walkes, MD

FDA REPRESENTATIVES PRESENT :

Robert DeLap, MD, PhD

Robert Justice, MD

Robert Temple, MD

Grant Williams, MD

SPONSOR REPRESENTATIVES PRESENT :

Mohammad Azab, MD, MSc

Eric Edell, MD

Alexander Mancini, MSc

ALSO PRESENT :

Lou Gura

Julia Levy, PhD, DSc

Harvey Pass, MD

Seth Rosenthal, MD

A-G-E-N-D-A

	PAGE
Call to Order/Opening Remarks	
Chairman Dutcher	4
General Comments	
Robert DeLap, Director Division of Oncology Drug Products	5
Conflict of Interest Statement	
Jannette O'Neill-Gonzalez	6
Applicant's Presentation:	
QLT Photo Therapeutics, Inc.	
Introduction	
Ms. Alexandra Mancini	9
Palliation	
Dr. Mohammad Azab	11
Superficial Tumors	
Dr. Eric Edell	30
Conclusions	
Dr. Mohammad Azab	40
Question/Answer Period	41
FDA Presentation	
Dr. Grant Williams	82
Question/Answer Period	100

P-R-O-C-E-E-D-I-N-G-S

(1:00 p.m.)

CHAIRMAN DUTCHER: We're going to get started in just a moment if everyone can take their seats please.

Welcome. This is the Oncology Drug Advisory Committee's 54th Meeting. I'm Janice Dutcher. I'm the Chair of the Committee. I'm from Albert Einstein Cancer Center.

We're going to go around the table and introduce the members of the Committee. We'll start with Dr. Ozols.

DR. OZOLS: Yes, Bob Ozols, medical oncologist from Fox Chase Cancer Center in Philadelphia.

DR. SWAIN: Sandra Swain, medical oncologist, Washington, DC.

DR. SCHILSKY: Rich Schilsky, medical oncologist, University of Chicago.

LIEUTENANT O'NEILL-GONZALEZ: Jannette O'Neill-Gonzalez, Executive Secretary, FDA.

DR. JOHNSON: I'm David Johnson, medical oncologist at Vanderbilt University.

DR. SIMON: I'm Rich Simon. I'm a biostatistician at the National Cancer Institute.

1 DR. MARGOLIN: Kim Margolin, medica l
2 oncologist, City of Hope.

3 DR. RAGHAVAN: Derek Raghavan, medica l
4 oncologist, University of Southern California.

5 DR. KROOK: Jim Krook, medical oncologist ,
6 Duluth City Clinic.

7 MR. GIDDES: Ken Giddes, patien t
8 representative.

9 DR. DeLAP: Bob DeLap, Divisio n Director,
10 Oncology Drugs, FDA.

11 DR. JUSTICE: Bob Justice, Deput y
12 Director, Oncology Drugs, FDA.

13 DR. WILLIAMS: Grant Williams, medica l
14 reviewer, FDA.

15 CHAIRMAN DUTCHER: Okay, thank you.

16 Dr. DeLap, you wanted to make a fe w
17 comments.

18 DR. DeLAP: Yes. As I'm sure everyone is
19 aware, we have had some, in th e past -- I'm sorry. I
20 thought we were going to have kind of the conflict of
21 interest statement first. Let me restart here.

22 We're very interested in accommodating al l
23 of the public input that people wish to provide a t
24 this meeting. We have had, as everyone knows, som e
25 people invited to give public input at the behest of

1 sponsors at past meetings, as well as people who come
2 simply of their own accord to give public input. In
3 order to fully accommodate everyone who wishes to
4 speak, whether they're coming at the behest of the
5 company or simply as a matter of their own volition,
6 we've decided that we would like to organize this by
7 having some additional time attached to the sponsor's
8 presentation which the sponsor may allocate for
9 testimony by patients or other members of the public
10 who wish to come and give their input to the
11 Committee.

12 So, for this afternoon's session and for
13 tomorrow's session, each of the company's
14 presentations has been lengthened by 50 minutes in
15 order to accommodate people who the company has
16 invited and sponsored to give testimony. So, that
17 will be a separate and additional event to the open
18 public hearing part of the meeting. This is the way
19 we're doing it for this meeting. We'll see how this
20 works and we'll decide how we wish to do it in the
21 future based on our experience.

22 CHAIRMAN DUTCHER: Thank you.

23 LIEUTENANT O'NEILL-GONZALEZ: Welcome to
24 the meeting. I'm going to be reading the conflict of
25 interest statement.

1 The following announcement addresses
2 conflict of interest issues associated with this
3 meeting and is made a part of the record to preclude
4 even the appearance of a conflict.

5 Based on the submitted agenda and
6 information provided by the participants, the Agency
7 has determined that all reported interest in firms
8 regulated by the Center for Drug Evaluation and
9 Research present no potential for a conflict of
10 interest at this meeting with the following
11 exceptions.

12 In accordance with 18 USC 208(b)(3), full
13 waivers have been granted to Dr. Sandra Swain, Dr .
14 Derek Raghavan, Dr. Robert Ozols, Dr. Kim Margolin ,
15 and Dr. David Johnson. A copy of these waiver
16 statements may be obtained by submitting a written
17 request to the Agency's Freedom of Information Office ,
18 Room 12A-30 of the Parklawn Building.

19 In addition, we would like to disclose for
20 the record that Dr. Ozols and his employer, the Fox
21 Chase Cancer Center, have interest in Bristol Myers,
22 Squibb Pharmacy, and Upjohn, sponsors of competing
23 products to Photofrin, which do not constitute
24 financial interest in the particular matter within the
25 meaning of a 10 USC 208. Notwithstanding this

1 interest, it has been determined that it is in th e
2 Agency's best interest to have Dr. Ozols participate
3 fully in all matters concerning QLT Photo Therapeutic s
4 Photofrin.

5 In the event that the discussi ons involve
6 any other products or firms no t already on the agenda
7 for which an FDA participant has a financial interest ,
8 the participants are aware of the need to exclud e
9 themselves from such involvement and their exclusion
10 will be noted for the record.

11 With respect to all other participants, w e
12 ask in the interest of fairness, that they address an y
13 current or previous financial involvement with an y
14 firm whose product they may wish to comment upon .
15 Thank you.

16 CHAIRMAN DUTCHER: Dr. Temple, do you want
17 to introduce yourself?

18 DR. TEMPLE: Yes. I'm Dr. Rob ert Temple,
19 I'm director of ODE I. Thanks.

20 CHAIRMAN DUTCHER: Thank you.

21 Okay, we do have time for open publi c
22 hearing. We did not have anyone request to speak. I s
23 there anyone in the audience who has come to th e
24 meeting that does, in fact, wi sh to make a statement?

25 Okay, thank you. Then I guess we will go

1 ahead with the company's presentation.

2 MS. MANCINI: Thank you.

3 Good afternoon, Madam Chairman, Members of
4 the Advisory Committee and Members of the FDA. My
5 name is Alexandra Mancini and I'm vice president of
6 regulatory affairs for QLT Photo Therapeutics. We are
7 very pleased to be here today to discuss our
8 supplemental application for Photofrin for firm
9 sodium for injection.

10 Photofrin was first approved in the US in
11 December 1995 for use in photo dynamic therapy which
12 is also called PDT. It was approved for the
13 palliation of certain patients with obstructing
14 esophageal cancer. In February of this year, we filed
15 the supplemental application for use of Photofrin PDT
16 in lung cancer and this will be the topic of
17 discussion today.

18 Just as Photofrin PDT is effective at
19 palliating obstructing esophageal cancer, it is also
20 effective at palliating obstructing lung cancer.
21 Therefore, the first supplemental indication we are
22 requesting is for the reduction of obstruction and
23 palliation of symptoms in patients with completely or
24 partially obstructing endobronchial non-small cell lung
25 cancer. The primary data we are providing for this

1 indication comes from two company-sponsored randomized
2 comparative trials that were multi-center, carried out
3 one in the United States and one in Europe, according
4 to essentially identical protocols. We did discuss
5 the protocol design for the US study with the FDA at
6 an end of Phase II meeting.

7 The second supplemental indication we are
8 requesting is for the treatment of endobronchial
9 carcinoma in situ, or microinvasive nonsmall cell lung
10 cancer in patients for whom surgery and radial therapy
11 are not indicated. Many of the physicians who
12 participated in our palliation trials recognized that
13 Photofrin PDT might be a characteristic therapy for
14 early stage superficial disease. However, due to the
15 small number of patients diagnosed annually with such
16 superficial disease, we were unable to carry out
17 randomized comparative trials against surgery.

18 Therefore, the data we are providing as
19 primary data comes from three investigator-sponsored
20 single arm studies. We have primary data on 102
21 patients who were treated in these three studies over
22 a period of approximately ten years. We believe that
23 the request for this supplemental indication is very
24 much in keeping with the draft guidelines from the
25 oncology division which encourage supplemental

1 applications and suggest that possibly alternative
2 sources of data, other than from company-sponsored
3 trials, could be considered adequate.

4 Today's data presentation will begin with
5 Dr. Mohammad Azab, our vice president of clinical
6 research and medical affairs, who will present the
7 primary efficacy and safety data to support the
8 palliation indication. The primary data for the
9 superficial tumors indication will then be presented
10 by Dr. Eric Edell from the Mayo Medical School, as
11 well as his own experience with the use of Photofrin
12 PDT. Final conclusions will be presented by Dr. Azab.

13 Also with us today to participate in the
14 discussion period following the main presentations are
15 the three consultants who participated in the review
16 of the patients for the superficial tumors indication.
17 We have Dr. Harvey Pass, a thoracic surgeon from Wayne
18 State University, Dr. Seth Rosenthal, a radiation
19 oncologist from the University of California, San
20 Francisco, and Dr. Howard Sandler, a radiation
21 oncologist from University of Michigan.

22 At this time, I'd like to invite Dr. Azab
23 to begin the data presentation.

24 DR. AZAB: Good afternoon, ladies and
25 gentlemen. I would like, in the next few minutes, to

1 go through the clinical data from the key studie s
2 efficacy and safety and the clinical developmen t
3 program in support of the proposed supplementa l
4 indication.

5 As you know, lung cancer is still a n
6 important health problem with more than 178,000 ne w
7 lung cancer cases expected this year only in the US.
8 This makes it by far the leading cause of cance r
9 death. Approximately 20 percent of the newl y
10 diagnosed cases present with symptoms or complication s
11 of endobronchial obstruction that would requir e
12 palliation.

13 The current therapeutic options for th e
14 palliation of endobronchial obstruction fall under tw o
15 broad categories. Those who have a rapid effect on
16 the relief in the endobronchia l obstruction, the most
17 commonly method used was the thermal ablation of the
18 tumor using the Nd:YAG laser. That provided th e
19 rationale for the use of this comparitor in the tw o
20 key studies. These modalities, however, do not have
21 any direct cytotoxic effect on the tumor. The other
22 modalities, which are the more standard cytotoxi c
23 modalities such as radiotherap y and chemotherapy have
24 a slower effect on the relief of endobronchia l
25 obstruction.

1 The Photofrin photo dynamic therapy, o r
2 PDT provides a unique mechanism of action whic h
3 combines the local effects with a selectiv e
4 cytotoxicity. It's a two-step process which starts by
5 the intravenous injection of a photosensitizer ,
6 Photofrin. Two days later, this photosensitizer i s
7 selectively retained in the tumor and a light of a
8 certain wave length is directed to the tumor t o
9 activate the photosensitizer. That activation wil l
10 result in a photo dynamic reaction which would lead t o
11 a local selective cytotoxicity. The cytotoxicity is
12 achieved by the generation of free radicals which wil l
13 produce direct tumor kill and a new vasculatur e
14 shutdown which will result in ischemic necrosis of the
15 tumor.

16 The clinical development program ha s
17 supported this indication for Photofrin photo dynamic
18 therapy consisted of the two k ey studies which looked
19 at the single modality use of Photofrin PDT versu s
20 Nd:YAG. And there were other supportive studie s
21 including a Phase II dose ranging studies and othe r
22 studies investigating the use of Photofrin PDT i n
23 combination with radiotherapy.

24 In keeping with the indication that we ar e
25 seeking today, we are concentrating on the data from

1 the key clinical studies comparing Photofrin phot o
2 dynamic therapy, single modality, versus Nd:YA G
3 thermal ablation. These two studies were both ope n
4 label, randomized identical design, and they wer e
5 conducted in patients who are symptomatic due t o
6 endobronchial obstruction. The two studies, P17 and
7 P503, were conducted in 35 centers across Nort h
8 America and Europe and included a total of 21 1
9 patients.

10 The protocol defined a Photofrin phot o
11 dynamic therapy single course as the injection o f
12 Photofrin, two milligrams per kilogram intravenously.
13 Two days later at Day 3 is the application of th e
14 light session to the tumor. And then two days later
15 when the photo dynamic effect has taken place and the
16 tumor necrosis is achieved, a debridement clean-u p
17 bronchoscopy is done. At that time, if the tumo r
18 response is not sufficient, an optional second light
19 session is given.

20 The protocol also defined the treatmen t
21 schedule for Nd:YAG single course. In order not to
22 bias the results against the Nd:YAG application and to
23 be consistent with clinical practice, there were n o
24 limitations in the number of s essions of light energy
25 dose used for the Nd:YAG singl e course. The goal was

1 to ablate all accessible tumors and investigator s
2 ended the course only when the y decided that there is
3 no further benefit to be gained by further sessions o f
4 Nd:YAG. Debridement was usually done in the sam e
5 bronchoscopy.

6 The protocol also defined the efficac y
7 endpoints and in keeping with the indication that we
8 are seeking, the relief of endobronchial obstruction
9 was assessed by the objective tumor response through
10 endoscopic assessment of the smallest lumina l
11 diameter. The complete response was the classica l
12 standard complete regression of the tumor but you r
13 response was defined as at least 50 percent increase
14 of the smallest luminal diameter.

15 Symptom palliation, which is anothe r
16 important goal of the therapy for endobronchia l
17 obstruction was a primary endpoint of the protocol .
18 Four symptoms were prospectively identified: dyspnea ,
19 cough, hemoptysis and sputum, and they were rated by
20 prospective severity rating scales.

21 Time to tumor recurrence was a primar y
22 endpoint in the protocol. It was later changed t o
23 time to local progression to be in keeping with th e
24 local effects of the therapy, and also in keeping as
25 a more standard endpoint for the evaluations o f

1 patients with advanced disease. Another time to event
2 analysis was the endpoint of time to treatment failure
3 which, in addition to the local progression reasons,
4 had also failure reasons which are non-local ,
5 including any death or any withdrawal from adverse
6 events. The protocol assessment schedule were a week
7 one, month one, two, three and six. All the analysis
8 presented today are the intention to treat primary
9 analysis.

10 I would like to go through the patients'
11 characteristics from the two studies. They were
12 representative of the patient population of
13 endobronchial obstruction. They were generally
14 consistent across the two studies and they were
15 balanced between the two arms in each of the two
16 studies. Most of the patients in the two studies were
17 men of a median age of approximately 65, a median
18 Karnofsky score of 70. Most of them had squamous cell
19 carcinoma of advanced stage 304 disease.

20 Many of the patients in the studies had
21 cardiovascular respiratory concomitant disease in
22 addition to their cancer. The majority of the
23 patients of Study P17 had received prior therapy .
24 This was only true in one-third of the patients in
25 Trial P503 because that trial allowed the inclusion of

1 newly diagnosed cases. Most of the patients had
2 severe endobronchial obstruction. The majority had
3 main stem tumors and the majority had more than 90
4 percent endobronchial obstruction. That resulted in
5 a very high percentage of atelectasis and that all the
6 patients had one or more pulmonary symptoms.

7 I would like now to go through the
8 efficacy data starting with the objective tumor
9 response as assessed by the luminal diameter. In
10 keeping with the rapid relief of endobronchial
11 obstruction, most of the patients just received a
12 single course for the relief of obstruction and
13 palliation. That's why we will focus on the course
14 one data, and as I said, using the intention to treat
15 analysis.

16 These are the data from the week one and
17 month one protocol assessments. The patients who
18 qualified for a complete regression of the tumor, or
19 at least 50 percent increase of the smallest luminal
20 diameter, as you can see here at week one,
21 approximately half of the patients across the two
22 studies had achieved relief of the obstruction after
23 a single course at the one week evaluation. At month
24 one, however, as you can see from the two studies, the
25 response rate was maintained in Study P17 and the same

1 thing for Study P503 while it declined b y
2 approximately one-half for the Nd:YAG arm in bot h
3 studies. That resulted in a statistically significan t
4 different in favor of Photofrin in the two studies.

5 Most of the other response eva luations at
6 the one week and month one analysis were stabl e
7 disease or patients who were not assessed. Most o f
8 the patients included in this study are at ver y
9 advanced disease stage and many of them had eithe r
10 death progression or were too sick for evaluation by
11 repeated endoscopic assessment s. By month one, about
12 40 percent of the patients were not available fo r
13 endoscopic assessment. Beyond month one, more than
14 50 percent of the patients it's not possible to d o
15 endoscopic assessment making any assessment beyon d
16 that time point not suitable for forming a comparison .

17 In discussion of the objective tumo r
18 response data, there was a consistency of a highe r
19 Photofrin PDT response from two randomized multi -
20 center trials in an intention to treat analysis .
21 Because of the relatively large number of missing dat a
22 because of the advanced nature of that disease, we've
23 also done an analysis on the e valuab le patients only.
24 That analysis confirmed a simi lar pattern of a higher
25 response rate on Photofrin photo dynamic therapy.

1 Also, the Agency did a thorough review on
2 the raw data using different response criteria and
3 using the best response achieved by the patient at any
4 time point, or at the certain time point and forward.
5 All of these analyses had the same pattern of a higher
6 PDT response rate.

7 Another important goal of therapy in
8 addition to opening the luminal, the airways, is the
9 symptom palliation. These bar charts show the
10 percentage of patients who had improvement of the
11 symptoms of the four prospectively defined symptoms in
12 the two studies of Photofrin and Nd:YAG. At week one,
13 there's approximately one-third of the patients who
14 achieved symptom palliation at week one. There was no
15 statistically significant difference between the two
16 arms in the two studies.

17 At month one, however, consistent with the
18 objective response data, as you can see here, the
19 percentage of patients with dyspnea improvement on
20 Study P17 and Study P503 showed a pattern of a higher
21 response rate for the dyspnea improvement on the
22 Photofrin arm. This difference was statistically
23 significant for Study P503 only. Looking at the other
24 symptoms, there's about one-quarter to one-third of
25 the patients who still achieved symptom palliation by

1 month one. That difference was not statistically
2 significant in Study P17, and there was a pattern of
3 a higher symptom improvement in Study P503 which was
4 only significant for the cough improvement and the
5 dyspnea improvement.

6 An important subgroup in the palliation of
7 symptoms is the patients who had severe symptoms at
8 baseline that were probably interfering with the daily
9 activities, so we looked at the month one palliation
10 of patients who had severe symptoms which were a grade
11 3 or more at baseline. Here, we're looking at the
12 combined data set from P17 and P503, looking at the
13 percentage of patients who had improvement of one
14 grade or more and also, the dramatic improvement of
15 two grades or more in each of the dyspnea, cough, or
16 hemoptysis.

17 As you can see from the results of
18 Photofrin, this once again looking at each one of
19 these symptoms, there was a consistent 50 percent
20 improvement of all the three symptoms on the Photofrin
21 arm. Looking at the dramatic improvement of two
22 grades or more, there was one-third to one-half of the
23 patients achieving two grades or more improvement from
24 a baseline of severe symptoms. The corresponding
25 figures in this subgroup summary of the Nd:YAG were

1 consistently lower and ranged from as low as nine
2 percent to as high as 28 percent.

3 Another way of looking at the evaluation
4 of the benefit risk of the patients is actually to
5 review the individual patients and see, in terms of
6 their efficacy and safety, did they achieve a
7 clinically significant benefit. We've done that
8 through a review of individual case record forms using
9 very rigorous criteria which defined a clinically
10 important benefit by either that the patient achieve
11 a clinically important symptom relief and/or a
12 sustained durable objective response two months or
13 longer. The patient also should have no or minimal
14 adverse events reported and no intervening therapy
15 that could contribute to their positive outcome.

16 Using these vigorous criteria, we were
17 able to identify 36 patients or 36 percent of the
18 patients on the Photofrin arm who had clinically
19 important benefit. The median duration of benefit
20 using a very rigorous estimation of duration was at
21 least two months after a single course. That estimate
22 is very conservative. As you see the plus here ,
23 because actually, there were 23 patients of the 36 who
24 were still at risk in response at the time of the last
25 assessment and some of the patients achieved very

1 durable clinically important benefit lasting for more
2 than a year.

3 This slide summarizes all the efficacy
4 endpoints of the trial from the combined data set of
5 the two studies. This includes also the time to event
6 analysis, time to local progression, and time to
7 treatment failure. As you can see here from the
8 Photofrin PDT, there was a consistent higher efficacy
9 reported on the Photofrin arm compared to the data on
10 the Nd:YAG. That difference was significant for the
11 objective response at month one, for the symptom
12 palliation at month one for dyspnea and cough, and
13 also there was slight difference but it was
14 statistically significant for the median time to
15 treatment failure.

16 However, the differences -- can I have the
17 previous slide, please? -- the difference in the
18 objective response and in the dyspnea was brought
19 forward from, was consistent across the two studies
20 providing stronger evidence of a higher efficacy rate,
21 at least for these two endpoints. This is probably
22 related to the cytotoxic effect achieved by the photo
23 dynamic therapy reaction which does not occur with
24 Nd:YAG.

25 I would like now to go through the safety

1 results. This will be presented from the combine d
2 data overview of all patients who actually receive d
3 treatment. We will present all adverse event s
4 presented by their worst sever ity and irrespective of
5 whether or not they were relat ed to therapy. Adverse
6 events were collected over the whole follow-up period
7 which is an important point because many of th e
8 patients were followed up for many months after th e
9 treatment had ended. It is important to look ,
10 therefore, at the extent of follow-up for the tw o
11 arms.

12 Looking at the extent of the follow-up ,
13 more patients on the Nd:YAG had a short follow-up of
14 less than 30 days and more patients on Photofrin had
15 a longer follow-up of more than 90 days. There wa s
16 also a longer median duration of follow-up on th e
17 Photofrin arm from the combine d data set. That could
18 introduce a possible bias in terms of adverse events
19 reporting since patients who are followed up for a
20 longer time had the potential of reporting mor e
21 adverse events related to their eventual diseas e
22 progression.

23 Despite that possible bias, looking at th e
24 overall safety parameters from the two studie s
25 combined in the patients who actually receive d

1 treatment in both arms, there was no statisticall y
2 significant difference between any of these important
3 parameters: Patients who reported at least on e
4 adverse event; patients who reported severe or lif e
5 threatening events, whether that over the whol e
6 follow-up period or within 30 days of a treatmen t
7 procedure; all death from any cause within 30 days of
8 a treatment procedure and with drawal were all similar
9 and not statistically signific antly different between
10 the two arms.

11 There was also some individual event s
12 which are important pulmonary events which wer e
13 reported at slightly higher incidence in the Photofri n
14 group and we would like to discuss them here. Fatal
15 massive hemoptysis is a rather common complication in
16 patients with end stage endobronchial disease. An d
17 the rate of fatal massive hemoptysis in the two ke y
18 studies that are presented now are the six percent fo r
19 Nd:YAG and ten percent for Photofrin. These results
20 were not statistically significant.

21 If we'll look at the non-pivot al studies,
22 the radiotherapy studies -- an d this is a compilation
23 of data from several studies. These studies i n
24 general compared the combination of Photofrin plu s
25 radiotherapy versus radiotherapy alone, and in on e

1 study, Study P504, versus the combination of external
2 radiotherapy and endobronchial brachytherapy. The
3 incidence of fatal massive hemoptysis on radiotherapy
4 alone was eight percent which is very much similar to
5 Nd:YAG and Photofrin in the key studies. The
6 incidence of FMH in the combination arms is 17 and 25
7 percent, slightly higher than the single modalities.

8 There are many possible causes of fatal
9 massive hemoptysis that are difficult to distinguish
10 in those patients. Some of them would be due to the
11 tumor progression eroding a pulmonary vessel. Some of
12 them could be treatment induced as the result of the
13 efficacy of the therapy in producing acute tumor
14 resolution, and some of them could be an
15 instrumentation injury. However, the overall
16 incidence in those trials are consistent with the
17 literature and the treatment of endobronchial disease.
18 The incidence of the -- vary from four to 32 percent.

19 In order to establish a possible
20 likelihood of relationship to therapy because
21 Photofrin and Nd:YAG are acute therapies with acute
22 effects, we looked at the early FMH which occurred
23 within 30 days of any treatment procedure. Looking at
24 this subset, actually, the incidence is four percent
25 on each arm, identical between the two therapies.

1 However, recognizing that this is an important event,
2 we have added instructions in the label to
3 contraindicate PDT in patients with tumors that are
4 suspected to erode into a major blood vessel.

5 Another important life threatening pulmonary event
6 which was reported where there is respiratory
7 insufficiency. These were reported at one percent and
8 five percent for Nd:YAG and Photofrin respectively .
9 These results were not statistically significant.

10 Once again, using the same convention of
11 looking at the events which were reported within 30
12 days of treatment, there were three events on
13 Photofrin and one event on Nd:YAG. These events
14 usually are due to a blocking of a major airway by a
15 necrotic debris or mucous plug and can adequately be
16 treated by a clean-up endoscopy and debridement. We
17 have added instructions in the label to mandate a
18 debridement bronchoscopy two days after the light
19 session and also to use caution in treating patients
20 with main airway lesions because these are the
21 patients who would be susceptible when they block
22 their airways to have a severe dyspneal respiratory
23 distress.

24 Now, looking at the less clinically
25 important but frequent adverse events, this is a list

1 of all the adverse events in the studies that were
2 reported at ten percent or higher incidence. Most of
3 the events, as you can see, are actually pulmonary
4 events which could be related to the disease
5 progression. There were four types of events which had
6 significant difference and reported at a higher
7 incidence than the Photofrin arm. These are, which
8 is not unexpected, the photosensitivity reactions.
9 There was some increase when we group all the
10 psychiatric adverse events in the Photofrin and also
11 in the dyspnea reporting and in bronchitis.

12 The psychiatric events were actually
13 almost all mild to moderate and anxiety and insomnia
14 were very transient before or after a procedure and
15 was not of concern. The bronchitis was the same.
16 Almost all of them were mild to moderate and they're
17 probably due to local inflammation which results
18 within seven to ten days after the light application.
19 The other two types of events, photosensitivity
20 reactions and dyspnea carried a slightly higher
21 incidence. I would like to discuss them in the next
22 slides.

23 Photosensitivity reactions due to
24 Photofrin are usually mild to moderate sunburn-like
25 reactions due to the exposure of the direct sunlight.

1 These were mild to moderate in the two studies that we
2 reported in 19 out of the 20 patients. Almost all of
3 them were transient and self-limiting. They could be
4 easily prevented by compliance with the label
5 instructions to instruct the patient to avoid direct
6 sunlight during the period of photosensitivity after
7 the drug's injection.

8 The dyspnea was also reported as a higher
9 incidence in Photofrin and we applied the same
10 convention of looking at the events which were
11 reported within 30 days of any treatment procedure as
12 the ones which are potentially related to treatment.
13 Looking at this group, there was no difference between
14 the incidence in Photofrin and Nd:YAG and most of the
15 difference of the total incidence was as a result of
16 the late dyspnea events which were probably related to
17 disease progression maybe because of the longer
18 follow-up period that we have spoken about earlier.

19 Finally, in randomized studies, in late-
20 stage cancer patients who are susceptible for serious
21 complications from their disease or from treatment, it
22 is important to look at the survival analysis as a
23 endpoint for efficacy and safety, and as a global
24 measure of the benefit risk to those patients with
25 late-stage cancer. These are the Kaplan Meier curves

1 and the solid line here is the PDT survival curve
2 which was slightly higher than the Nd:YAG survival
3 curve. That was very comparable. It has a ratio of
4 PDT over Nd:YAG was .82. That was lower than one, but
5 that difference was not statistically significant.
6 The upper limit of the confidence interval was 1.11.

7 So, in summary, Photofrin photodynamic
8 therapy achieved the two important goals of treatment
9 in patients with endobronchial obstruction. Relief of
10 endobronchial obstruction was achieved in
11 approximately one-half of the patients. Symptom
12 palliation was achieved in approximately one-third of
13 the patients. There was a consistent pattern of a
14 better objective response than Nd:YAG from the
15 randomized trials. Photofrin PDT was equal or better
16 than Nd:YAG in symptom palliation. Looking with very
17 rigorous criteria at patients who achieved clinically
18 important benefit with no or minimal adverse events,
19 approximately one-third of the patients did achieve
20 that therapeutic benefit.

21 In terms of safety, the incidence of
22 patients with any adverse events, death within 30
23 days, the group of severe or life-threatening adverse
24 events as a whole, overall survival and withdrawal was
25 similar between Photofrin and Nd:YAG. The local

1 effects reported with Photofrin are consistent with
2 its pharmacological action in terms of a transient ,
3 inflammatory response or acute tumor resolution. The
4 safety profile of Photofrin PDT is therefore
5 acceptable for the proposed indication.

6 I would like now to invite Dr. Eric Edell
7 from the Mayo Medical School to present data on these
8 superficial tumors.

9 CHAIRMAN DUTCHER: Excuse me, Dr. Azab .
10 Can I just ask if we could raise the projector a
11 little bit so that the people on this side of the room
12 can see the slides a little bit better? Is that
13 possible?

14 Thank you very much.

15 DR. EDELL: Ladies and gentlemen, it's a
16 real pleasure for me to be able to present information
17 supporting the use of PDT in superficial lung cancer.
18 Before I get into the supportive data, however, I'd
19 like to review with you some of the background
20 information that led to the use of this therapy at
21 our institution, some of the experience from the
22 Japanese and our institution, and then I'll present
23 data from QLT to support this application.

24 As Dr. Azab has mentioned, patients with
25 lung cancer have a fairly dismal, overall five-year

1 survival, and this hasn't changed in recent years. It
2 has been felt, however, that treatment of cancer at
3 its earliest stage offers the best opportunity for
4 long-term survival. It was because of this feeling
5 that the NCI sponsored a multi-center study back in
6 the 1970s in an attempt to screen patients in an early
7 stage, intervene with surgical resection, and then
8 hopefully have an effect on the overall mortality.
9 Those three centers, I think we're all familiar with,
10 occurred at Memorial Sloan-Kettering, Johns Hopkins,
11 and our institution, the Mayo Clinic.

12 It was during this study that we had the
13 opportunity to learn a little bit more about the
14 natural history of some of these patients. We
15 identified 54 patients during our screening study that
16 were radiographically occult. These were picked up by
17 sputum cytology. In that category of patients, 11
18 were bronchoscopically occult and nine of those
19 patients underwent a pneumonectomy to control the
20 disease. But it was those 11 patients that were
21 bronchoscopically occult where we first started using
22 a hematoporphyrin derivative which is a less purified
23 form of Photofrin as an aid in localizing these
24 cancers. It was quite helpful and these patients went
25 on to treatment.

1 We also found that these patients are at
2 a higher risk for developing a second cancer at a rate
3 of five percent per year. As I mentioned, some of
4 them have large operations such as pneumonectomies to
5 control their disease. So, we felt if these patients
6 were returning that we needed a treatment that would
7 preserve lung tissue. This is what led to the use of
8 photo dynamic therapy at our institution in treating
9 these non-surgical patients.

10 The Japanese have the largest experience
11 in the world treating superficial cancers with photo
12 dynamic therapy. They've been doing this since 1980.
13 They reported over 251 patients that have been managed
14 with this therapy. This was initially done with a
15 hematoporphyrin derivative as in our institution, but
16 later, they've been using Photofrin PDT. In early
17 stage cancers, they report 95 patients and a complete
18 response rate of 81 percent with a recurrence rate of
19 approximately 16 percent. Some of this information
20 was presented and led to the approval of Photofrin
21 PDT in Japan in 1994.

22 Now even though we have a smaller
23 experience at our institution, I think the results are
24 fairly similar to those of the Japanese. We, too,
25 treated our first patient in the later stages of 1980.

1 Since that time, we've treated 58 non-surgica l
2 patients with early superficial cancer. We have a
3 complete response rate of approximately 84 percent .
4 Our recurrence rate after a single treatment is 3 9
5 percent with a median time to tumor recurrence of 4.1
6 years. After a second or more treatments, ou r
7 recurrence rate dropped to 22 percent. We have a
8 median survival of three-and-a-half years.

9 We became very encouraged about th e
10 opportunity for this treatment to control these very
11 early superficial cancers and we extended ou r
12 indication at our institution into two protocols. We
13 now have not only a protocol for non-surgica l
14 patients, but we also have a protocol for surgica l
15 patients with superficial cancer to be managed wit h
16 photo dynamic therapy. These are patients who ar e
17 initially treated with photo therapy in a single arm
18 fashion. If they have a complete response, they are
19 then followed until recurrence or about two years or
20 more. If they have a less than complete response or
21 recurrence, they go on to surgical resection. W e
22 recently reported our first 21 patients. This summer
23 we had a complete response rate of 71 percent and a
24 recurrence rate after a single PDT of 19 percent.

25 But I'd like to now turn and presen t

1 information, the data from QLT to support a n
2 indication for the treatment of endobronchia l
3 carcinoma in situ of microinva sive nonsmall cell lung
4 cancer in patients for whom surgery and radiotherapy
5 are not indicated. So, a very conservative group of
6 patients. The data to support this indication cam e
7 from three open label, single arm studies. At least
8 four investigators that had been involved with th e
9 palliation studies decided on their own that the y
10 wanted to try photo dynamic therapy in a curativ e
11 intent. So, these were investigator-sponsored trials .
12 They occurred in three different series that you see
13 here.

14 They identified 102 patients that wer e
15 treated over 10 years. The tumor stage include d
16 carcinoma in situ, T1, T2. There were no N1, o r
17 metastatic lesions identified. No nodal involvement
18 or metastatic lesions identified. The majority o f
19 these were radiographically occult. The patients wer e
20 considered inoperable by both the referring physician
21 and the treating physician. Some, however, may have
22 been eligible for radiotherapy. That was not a n
23 exclusion criteria and therefore, could hav e
24 participated in comparative radiotherapy trials.

25 It was because of this that QLT decided t o

1 try and select a subset of patients for who m
2 radiotherapy and surgery were not indicated. In order
3 to determine the eligibility for radiotherapy an d
4 surgery, they sought the outside advice of thre e
5 experts: two radiation oncologists, Drs. Rosentha l
6 and Sander, and a thoracic surgeon, Dr. Pass. After
7 collecting the information from these consultants ,
8 they developed a subset of 24 patients that made u p
9 the subset that you see in the document.

10 This slide shows why surgery an d
11 radiotherapy were not indicated in that subset o f
12 patients. Poor pulmonary function was a problem for
13 the majority of these -- for a lot of these patients.
14 Multi-focal or multi-lobular disease precluded surger y
15 in 21 percent and created a field that wa s
16 unacceptable in over a third. Prior high dos e
17 radiotherapy was seen in almost 40 percent of thes e
18 patients. The data from this subset, in addition to
19 the data from all patients treated, have been use d
20 together to support the indication for the treatment
21 of superficial cancers.

22 The majority of patients were men with a
23 median age of 60. As could be expected by th e
24 selection process, the indication group had more prio r
25 therapy, a lower or worse FEV₁, and they had mor e

1 multiple tumors. The vast majority had very earl y
2 squamous cell carcinoma and 80 percent were confirmed
3 radiologically occult. This slide just shows tha t
4 this group of patients were not -- their tumors that
5 were used were not isolated tumors. In the indicatio n
6 group, 71 percent of those had had a previous lun g
7 cancer and 55 percent of those in the total group had
8 had previous lung cancer. Som e of these cancers were
9 late stage. This may have had an effect on some o f
10 the survival statistics.

11 The measurements of efficacy include d
12 histologic complete tumor response, time to tumo r
13 recurrence, survival and disease-specific survival .
14 The efficacy results for the t otal group are based on
15 100 patients rather than 102 because at the time o f
16 treatment, two patients -- they were unable to confirm
17 the presence of tumor. If you see, also, it' s
18 important to note that the complete respons e
19 definition was based upon the individuals '
20 investigators. Those investigators decided that the
21 time after treatment to establish a histologi c
22 complete response was determined by them, not th e
23 protocol. The complete response rate was quite good
24 in both groups. The confidence interval was als o
25 quite tight, and this occurred primarily after a

1 single course of treatment.

2 In those patients who achieved a complete
3 response, close to 50 percent had recurred at the time
4 of the last evaluation. This gave a median time to
5 tumor recurrence of 2.7 and 2.8 years. The upper
6 limits of confidence intervals couldn't be calculated
7 because some of these patients had not recurred at the
8 time of last evaluation. This Kaplan Meier curve just
9 shows the consistency between the two cohorts. The
10 five-year survival estimated from this Kaplan Meier
11 curve in both groups was approximately 50 percent.
12 When you look at death from cancer, the median
13 survival increases, as you would expect, and the
14 disease-specific survival in both group approaches, 55
15 to 60 percent. Note that the X axis is out in years
16 and not months.

17 The FDA raised a couple of points
18 regarding the analysis of the efficacy that I'd like
19 to address now. As I previously mentioned, if you
20 looked at the complete response rate as assessed by
21 these investigators in the 100 patients used for
22 efficacy, the time of histologic confirmation was
23 determined by the investigators and sometimes, this
24 was quite short after the initial treatment. If one
25 were to take a biopsy to confirm histologic complete

1 response at three months or greater, the number of
2 complete responses would go from 79 to 46. If you use
3 an n of 97 which excludes three patients who had
4 different histology than nonsmall cell lung cancer,
5 carcinoma in situ, blastoma, those sorts of things,
6 then you would get an overall complete response rate
7 of 47 percent. The median time to tumor recurrence,
8 however, could not be calculated. In fact, at three
9 years, only 30 percent of these patients had recurred.
10 So, with this analysis, you do see a decrease in the
11 efficacy, but maybe a higher quality of patients in
12 that the duration of response appears to be longer.

13 A second point that the FDA requested was
14 to show the survival based upon the T stage. This
15 slide shows that Tis and T1 survival statistics are
16 very similar with four-year survivals in the 45 to 55
17 percent range. If you look at disease-specific
18 survival by T stage, we also have similar results
19 between these two groups.

20 The safety of this treatment was based on
21 all patients, in all 102 patients seen. At least 50
22 percent had one adverse event. There were 11 percent
23 that had severe or life threatening events. Six of
24 these recurred within 30 days. There was one death
25 within 30 days. This patient died of a fatal massive

1 hemoptysis. But it should be noted that this patient
2 had previously received bilateral upper lobectomie s
3 and had also received interbronchial radiation therap y
4 in the treatment zone. There were also a couple o f
5 patients outside this 30 days that died of fata l
6 massive hemoptysis. These patients had recurren t
7 disease and died somewhere between a year and thre e
8 years after their treatment.

9 If we look at those six patien ts that had
10 severe or life threatening eve nts within 30 days, two
11 of these patients were due to severe su n
12 photosensitivity. The other four had severe dyspnea
13 with or without cough. In two of these, it appear s
14 that the light dosage exceeded that which i s
15 recommended. One other patient had two lesions, one
16 in each main stem bronchi that were treat e d
17 concurrently. This may have been avoided if thes e
18 were treated on separate occasions. There was a n
19 individual who had a sole remaining airway where his
20 lesion was treated.

21 The most frequent adverse events ar e
22 summarized in this slide. Pho to sensitivity reactions
23 being mild were of the highest number seen. Similar
24 to the palliation studies, the se were primarily mild,
25 and face burns. In the category of mucositis, there

1 were exudative obstructive lesions, edema scene .
2 These were all around 20 perce nt. These could all be
3 explained based upon the pharmacologic effects o f
4 phototherapy. And the importa nt thing is that all of
5 these were reversible and didn't cause sever e
6 problems.

7 I think that to summarize, if you firs t
8 look at the efficacy of photo dynamic therapy ,
9 Photofrin PDT in the management of superficial cancer ,
10 the efficacy looks quite encou raging. If you compare
11 both the three studies that were given by QLT i n
12 addition to the FDA method ana lysis and those that we
13 have seen with historical data, 47 percent in thi s
14 population of people still shows good efficacy.

15 More importantly, the median survival of
16 these patients is consistent t hroughout these studies
17 that I've reviewed. I think also that the safety dat a
18 would suggest that the safeness of this treatment is
19 also reasonable. With that information, I think it i s
20 reasonable to conclude that Ph otofrin PDT is safe and
21 effective therapy for the treatment of carcinoma i n
22 situ or microinvasive nonsmall cell lung cancer i n
23 patients for whom surgery and radiotherapy are no t
24 indicated.

25 Thank you for your attention.

1 DR. AZAB: Thank you.

2 So, in conclusion for this supplementa l
3 indication of Photofrin PDT in lung cancer in th e
4 palliation indication, we believe there are tw o
5 adequate and well controlled studies that demonstrate d
6 the efficacy and safety for Photofrin PDT and th e
7 palliation of interbronchial obstruction. In th e
8 superficial cancer, there are three independen t
9 studies and a literature review provided consisten t
10 evidence of the efficacy and safety of Photofrin PDT
11 in the treatment of those early cancer patients with
12 no other alternative standard therapeutic options .
13 Thank you very much for your patience.

14 CHAIRMAN DUTCHER: Thank you very much.

15 Questions now from the Committee for the
16 applicant?

17 Dr. Schilsky?

18 DR. SCHILSKY: Well, I guess I'll star t
19 off with a few questions. I'm just curious wit h
20 respect to the pharmacologic e ffect of Photofrin, you
21 mentioned that there is a selective uptake in tumo r
22 tissue.

23 DR. AZAB: Yes.

24 DR. SCHILSKY: So, I'm curious to know, i s
25 it possible to estimate the magnitude of th e

1 difference between tumor tissue and normal tissue with
2 respect to uptake of the Photofrin?

3 DR. AZAB: Okay. Selectivity is usually
4 achieved by the association with the low density
5 lipoproteins, the LDL. Many of the cells which have
6 actually expression, high expression of LDL receptors
7 have expressed that selectivity. That's why the
8 proliferating tissues such as the tumors and the
9 endothelial cells and the blood vessels also have a
10 certain selectivity of the photosensitizers. That
11 brings the selectivity in the tumor and the new
12 vasculature shutdown mechanism.

13 In terms of the magnitude of the
14 difference, I believe probably if Dr. Julia Levy, who
15 is the chief scientific officer and has done many of
16 the basic pharmacological work, probably could have
17 further comments.

18 DR. LEVY: Yes, that's a very interesting
19 and important question because --

20 CHAIRMAN DUTCHER: Could you just state
21 your name?

22 DR. LEVY: Oh, I'm Julia Levy. I'm the
23 chief scientific officer and chief executive officer
24 of QLT.

25 The question as to detection of the ratio s

1 of drug in tumor versus normal tissues is a question
2 that is raised frequently by people interested in this
3 technology. You can get a rough estimate of relative
4 concentrations by using the endogenous fluorescence
5 characteristic of these photosensitizers. By using
6 certain kinds of emission, you can get a fluorescence
7 detection.

8 However, what I would like to add to that
9 is that this actually creates information that may not
10 have relevance in terms of the efficacy of the
11 treatment. As Dr. Azab has mentioned, there are two
12 mechanisms of tumor cell destruction and this has been
13 well documented in pre-clinical work with Photofrin
14 that the concentration of the drug not only in the
15 actual tumor cells, but also in the endothelial
16 vasculature of the neovasculature are both equally
17 important in terms of the efficacy of the elimination
18 of the tumor. For this reason, when you do a simple
19 measurement basically measuring the concentration
20 within the tumor, you may not be getting a good
21 measure of efficacy because of the vascular effect.

22 DR. SCHILSKY: Okay. Let me go on to a
23 few questions about the studies. I'm a medical
24 oncologist so I don't do bronchoscopies and things,
25 and so I had a few questions.

1 It wasn't clear to me in the two studies,
2 what was the medical specialty of the physicians who
3 were doing this? Was this done by thoracic surgeons?
4 Was it done by pulmonologists or others? And what was
5 the relative skill level of the physicians ,
6 particularly with respect to use of the YAG laser?

7 DR. AZAB: Yes, that's a very good
8 question. Actually, in that respect, most of the
9 studies since Nd:YAG was an established therapy and
10 PDT is an experimental therapy, in order to identify
11 the centers to participate in the trial -- especially
12 the trials against YAG -- actually, all of the centers
13 were centers who had the equipment, who were using an
14 experienced in the Nd:YAG thermal laser ablation .
15 Some of them did have some experience in PDT, but many
16 of them did not have experience in PDT. So, if there
17 is any possible actually sort of shift of experience,
18 it was probably more on the Nd :YAG because that's how
19 they were selected.

20 And you're absolutely right. Actually, it
21 was the investigators in terms of specialty, were
22 either thoracic surgeons or pulmonologists. These
23 were the two specialties in --

24 DR. SCHILSKY: But you're satisfied that
25 they all had some comparable level, basic skill level

1 and experience with using the YAG therapy?

2 DR. AZAB: Yes, they were all chosen - -
3 one of the criteria of the choice of these centers was
4 their level of experience with Nd:YAG. So, that was
5 a major -- selection.

6 DR. SCHILSKY: I guess that leads into my
7 next question. I'm a little confused as to why the
8 results with the Nd:YAG, particularly at one week, why
9 they're not better than they are in these studies .
10 You know, I enjoyed watching this video that we
11 received and the one thing that was clear is that when
12 you go in there with that laser, you just sort of
13 laser everything out and you get to chart up a bunch
14 of tissue, you know, and that's that. Whereas when
15 you do the photo dynamic therapy, you don't see any
16 immediate vaporization of the tissue.

17 DR. AZAB: Yes.

18 DR. SCHILSKY: So, in the study design ,
19 since the physicians could basically apply the YA G
20 laser as often as they wanted, it would seem to me
21 that virtually all the time that there should be
22 complete resolution of the tumor at least at one week .
23 That clearly is not the case in the data that yo u
24 reported.

25 DR. AZAB: Yes, this comes from the tw o

1 sets. I'll probably explain why because I think
2 that's a very good question.

3 Can I have slide 268 please?

4 The use of the thermal YAG -- it's true
5 that you can do ablation of the tumor but there is a
6 limit of how far you can apply it because of the very
7 high risk of damaging of the normal tissue because
8 it's not selective and also it's a very high skillful
9 technique. This is just an illustration of how the
10 tumor ablation is achieved. With Nd:YAG it's a high
11 energy thermal beam so it is true that it cuts through
12 the tissue into ablation. However, you can only treat
13 the exophytic tumors, and also you have difficulty
14 treating the circumferential tumors because you have
15 to apply the laser at several points.

16 Also, you have to be very careful in not
17 approaching the bronchial wall because if you approach
18 any normal tissue of the direction of the laser, is in
19 the wrong direction, you could have a perforation of
20 normal tissue. So, there are limits of the use of the
21 laser in terms of how far you can ablate the tumor
22 without producing damage. These sort of things were,
23 of course, very important because all these people
24 were very experienced with Nd:YAG. As I said, there
25 are 35 centers from North America and Europe.

1 PDT, however -- this is just a n
2 illustration of a tumor and it also doesn't give the
3 full impression because the circumferential tumor ,
4 when you introduce the fiber o ptic and then shine the
5 light, the light will be addressed to the whol e
6 circumference of the tumor. The light will have a
7 penetration of about five and eight millimeter in the
8 tumor so you can actually treat most of the depth of
9 the tumor in a circumferential way through the whole
10 length with just switching on the lights of the fiber
11 optic. It does not require the same skills, it does
12 not have the same limitation o f how far you can apply
13 the YAG laser. This stars, of course, all the areas
14 which have the light and will have the photo dynamic
15 reaction and will result in the cytotoxicity to th e
16 tumor.

17 DR. SCHILSKY: Okay. So, another questio n
18 then. When looking at the one month complete respons e
19 rates, so, again, it's a littl e unclear to me why the
20 results with the YAG therapy have deteriorated so much
21 by one month, whereas the results with the PDT seem t o
22 be preserved at one month. Do you just attribute tha t
23 to the rapid regrowth of the local tumor over tha t
24 short period of time?

25 DR. AZAB: It has been reported in all th e

1 physical methods -- some people also have said of the
2 thermal ablation, they could go with the bronchoscopy ,
3 do coring out and actual mechanical debridement. But
4 also, there's a limit of how far you can go without
5 damaging. So, most of the physical effects, yes, you
6 can remove tumor and you can introduce removal of
7 pieces of the tumor, but there are no cytotoxic
8 effect. Most of the literature data and all the
9 physical methods show that there is a rapid regrowth
10 because you are not altering the dynamics or the
11 kinetics of the tumor itself. You're just physically
12 destroying the tumor.

13 I think perhaps some of our experts -- Dr .
14 Pass had a lot of experience with PDT. Probably he
15 could explain why this is logical.

16 DR. PASS: Yes, this is not a unusual
17 phenomenon if you compare these two. Indeed, one
18 month is enough for regrowth after YAG. But because
19 of the cytotoxic reaction that's actually occurring
20 over a period of time and because you probably are
21 able to get a more controlled endoscopic obliteration
22 of the tumor and that effect continues for a time ,
23 it's not unusual at all to see this persistence of the
24 phototherapy effect compared to the YAG and other
25 core-out methods.

1 DR. SCHILSKY: All right, so that sort of
2 makes sense. I'm trying to get all these various
3 pieces of data to at least add up in my own mind. If
4 all that is correct, then I'm not clear on why, in
5 fact, there are no differences in time to local
6 progression between the two arms. Because it would
7 seem like if the tumors have grown back quickly after
8 the YAG therapy, that there should be a shorter time
9 to local progression and yet, your statistical
10 analysis didn't demonstrate that.

11 DR. AZAB: It is probably because of the
12 definitions of the time to local progression and the
13 time to treatment failure in the studies. I think it
14 is a fair comment that probably the definition in the
15 protocols was not adequate. The time to local
16 progression was not a simple time to objective
17 progression of the tumor as you would apply in many of
18 the oncology studies. It was actually a composite
19 time to event analysis. The events were either tumor
20 progression or increase in the symptoms of these
21 patients at any time. And also, this analysis is also
22 compounded by all the failure of patients who were
23 either not assessed or who were not available for
24 assessment.

25 So, it does not look at the group of

1 patients who had the benefit if you look at the whole
2 group. And also, because it's a composite time point ,
3 not just the objective progression that has a
4 subjective element to it of -- symptoms as well. It
5 was very difficult. I think there was a concurrence
6 between us and the FDA in terms of the usefulness of
7 these times to event analysis by the definition in the
8 protocol which I agree, was not optimal.

9 DR. SCHILSKY: I just have one more
10 question. I guess I'm still a little confused as to
11 whether, after PDT therapy, patients had an
12 improvement in their dyspnea or not because in your
13 response data, in your efficacy data, you demonstrated
14 that there was improvement in dyspnea. And yet ,
15 there's also an increase in the adverse event
16 reporting of dyspnea following PDT. So, are they
17 breathing better or not?

18 DR. AZAB: Well, as you well know, there
19 are two very different endpoints -- the dyspnea and
20 also the same thing from the review of the FDA -- that
21 are looked at as an efficacy endpoint, because that
22 was regularly assessed using prospective scales at
23 certain time points. So, that was the best way to
24 look at it. The adverse events, as I said, were
25 irrespective of whether they are related to the tumor

1 or not. And they were collected during the whole
2 follow-up period of the study. So, a patient three or
3 four months, or six months after receiving a treatment
4 reporting a dyspnea because he was still under follow -
5 up on the PDT arm, it would get captured.

6 So, if you look at the dyspnea events
7 within 30 days, they are very similar. It's 16
8 percent and 11 percent. Both Photofrin and Nd:YAG
9 have local effects which are acute. Beyond 30 days
10 from a treatment procedure, it's unlikely that these
11 events were related to therapy, and that's where the
12 difference comes from.

13 DR. SCHILSKY: Thank you.

14 DR. AZAB: Yes?

15 DR. MARGOLIN: I have two questions, sort
16 of technical questions. One is, who provided the
17 equipment and maintained and assessed the quality of
18 the equipment for both techniques? The other question
19 is whether your stance is that the apparent ease or
20 possibly improved safety, at least for some patients,
21 of the PDT therapy over the YAG therapy is expected to
22 increase the number of practitioners who can offer
23 this procedure to a larger number of patients?

24 DR. AZAB: In terms of the equipment, I'd
25 like to ask Lou Gura, who has been part of these

1 studies and their conduct.

2 DR. GURA: Yes, my name is Lou Gura.

3 With regard to the first part of your
4 question, the equipment, the actual laser companies
5 that provided the lasers. The YAG lasers were
6 commercially available at that time, so they were a
7 part of a commercial operation. They were maintained
8 at the hospital, the units where they were, by the
9 companies that provided them.

10 With regard to the PDT lasers, they were
11 experimental at the time. They, in fact, were
12 provided also by laser manufacturers. But the company
13 maintained or insisted on calibration and follow-up to
14 ensure that they were, in fact, running to standard.
15 We had power meters there to ensure that the light was
16 being delivered at the proper wave length and of the
17 proper power. So, there were two different things.
18 One was commercial, one was R&D. We augmented the R&D
19 ones to assure reliability.

20 DR. AZAB: All the studies had the -- of
21 calibrating the wave length and the light power, which
22 are the two most important parameters for the light.
23 Those were collaborated by the power meters supplied
24 by the manufacturers of the laser devices.

25 In terms of the application of the

1 therapy, I mean, we're hoping to be able to provide
2 another alternative modality for the therapy of these
3 patients for the palliations of interbronchial
4 obstruction. It is very difficult to answer how would
5 that be? I think there will always be the fact that
6 people specialized in that technique were either
7 thoracic surgeons or pulmonologists that should apply
8 the therapy because you have to have the experience in
9 bronchoscopy in order to be able to do the therapy.
10 The appropriate training, of course, the procedure
11 would take place. Photofrin is available on the
12 market for esophageal cancer and there are training
13 procedures there as well.

14 Yes?

15 DR. RAGHAVAN: I also have a technical
16 question. Could you talk a little bit about
17 dosimetry? You've talked about calibration. When we
18 look at the data that you've provided, I guess the
19 dose in joules is quite variable. So, what are the
20 indices for total dosage, time of delivery? How do
21 you standardize the approach that Dr. Pass might have
22 in Detroit, versus Dr. Edell in Rochester? All of
23 those sorts of things.

24 DR. AZAB: Okay, the approach in terms of
25 the procedures was actually very in detail, described

1 in the protocol and followed by the practitioners. As
2 you rightly said, there are various factors .
3 Actually, the light dose is fixed. The light dose ,
4 depending on the fiber you're using, if it's for the
5 long tumors, it is 200 joules per centimeter. Using
6 the cylindrical diffusers if you have a long tumor .
7 If there's a fixed point of a tumor that does no t
8 involve the whole circumference, then you use th e
9 microlens fiber. Most of the patients ha d
10 longitudinal tumors and had the 200 joules pe r
11 centimeter. So, that was a standard dose. It wa s
12 also applied with the same power at the same fixe d
13 time. It was approximately eight minutes and 2 0
14 seconds. That was the applica tion of the light which
15 would provide the 200 joules per centimeter.

16 The equipments were all calibrated t o
17 provide that power, and also to provide the light wit h
18 the wave length that would activate Photofrin, which
19 is 630 nanometers. So, we believe, in terms of th e
20 practice and the dosimetry of the light, that all the
21 criteria were detailed in the protocols. Th e
22 investigators were required to be trained on th e
23 procedure before they start the indication.

24 CHAIRMAN DUTCHER: Dr. Johnson?

25 DR. JOHNSON: I have actually severa l

1 questions, some that were generated by the
2 presentation.

3 It wasn't clear to me in reviewing the
4 material provided by yourselves, the symptom relief
5 was assessed by the physicians treating the patients?

6 DR. AZAB: Yes, that's correct.

7 DR. JOHNSON: Was any effort --

8 DR. AZAB: By asking the patients -- using
9 the perspective scales, using the severity rating
10 scales and the protocol.

11 DR. JOHNSON: Was any effort made for the
12 patient to self-assess their symptoms? In other
13 words, using the symptom assessment scale, as an
14 example?

15 DR. AZAB: It was not a self assessment
16 scale. It was the severity rating scale that was
17 provided in the protocol. The investigator would ask
18 the patients questions to evaluate their symptom
19 improvement.

20 DR. JOHNSON: But ultimately, it was the
21 physician treating the patient who determined that the
22 patient's symptoms had improved or not?

23 DR. AZAB: Well, I mean, ultimately, that
24 is correct. The patient describes the -- the
25 condition in terms of how they rate according to the

1 scale. Because they were asking specific question s
2 and they go through the scale, and they wer e
3 identified how much improvement they had or not .
4 That's correct.

5 DR. JOHNSON: While you're looking for a
6 slide --

7 DR. AZAB: Okay, it's just the scales ,
8 yes.

9 DR. JOHNSON: -- let me make myself very
10 clear. I know the scale.

11 DR. AZAB: Yes.

12 DR. JOHNSON: I just want to make th e
13 point very clearly that there's a difference between
14 a physician asking a question and then recording the
15 data, and asking a patient to self assess him o r
16 herself, the status of a symptom.

17 DR. AZAB: You're absolutely correct.

18 DR. JOHNSON: And that did not occur i n
19 this study, is that correct?

20 DR. AZAB: No, it was not a sel f
21 assessment. That was a scale provided in the protoco l
22 and the investigator had to ask questions to provide
23 the rating. That is correct.

24 DR. JOHNSON: Do you want to comment?

25 DR. AZAB: It's the scales.

1 DR. JOHNSON: Under Slide 33 you mentioned
2 life threatening pulmonary events and you made a
3 point. I would like to really concentrate on the
4 Phase III data. You combined the data of P17 and P50
5 and you note that fatal massive hemoptysis occurred in
6 ten percent of patients in these two studies, and six
7 percent in the YAG.

8 On the next page in slide 35 you mentioned
9 that there's a difference in life threatening
10 pulmonary events which you've characterized as
11 respiratory insufficiency. I'm not exactly sure I
12 know what you're trying to say there. But if one
13 combines the incidence of life threatening events, not
14 separate them out as has been done here, you have a 15
15 percent instance of life threatening events on the PDT
16 and seven percent on the YAG arm. Is that correct?

17 DR. AZAB: That is correct.

18 DR. JOHNSON: Is that statistically
19 significant? Is it clinically different?

20 DR. AZAB: I would ask our statistician
21 for that?

22 Yes, it's actually not statistically
23 significant. I think that when we present the life
24 threatening events as a whole, we've done the analysis
25 and that was not statistically significant.

1 DR. JOHNSON: Okay. My guess is it may be
2 but you say no. So, we'll hear about that later.

3 DR. AZAB: Can I make a comment?

4 DR. JOHNSON: Yes.

5 DR. AZAB: Yes. It is true that most of
6 the pulmonary events -- just I'd like to explain .
7 Many of these pulmonary events, these are patient s
8 with an end-stage endobronchial disease and it is very
9 difficult to differentiate wha t is due to the natural
10 history of the progression of the disease and due to
11 treatment.

12 As I said, these are acute treatmen t
13 effects and probably the best way of looking at it is
14 to look at the events that occurred within 30 days ,
15 which is the likelihood of the event to be traded to
16 treatment. And actually, if you look at within 3 0
17 days, there are four patients on the Photofrin wit h
18 FMH and three respiratory insufficiency, and ther e
19 were one and four. So, that m akes seven on Photofrin
20 and five on Nd:YAG. So, actually, within 30 days ,
21 even if you combined them, that difference is seve n
22 percent and five percent.

23 You're absolutely right, those unde r
24 respiratory insufficiency, it was, actually, a
25 compilation of terms. They we re reported as either a

1 severe dyspnea, or a bronchia spasm or a hypercapnia.
2 These were usually, as I said, if they are related to
3 treatment, then that's probably the case in the three
4 events which happened within 30 days. They are due to
5 a necrotic material blocking an airway in a lesion
6 which was in a measured airway.

7 DR. JOHNSON: How many of these patients
8 underwent post-mortem examination, if any?

9 DR. AZAB: The patients in the respiratory
10 insufficiency was only one patient for the death. The
11 respiratory insufficiency, these patients did not die.
12 In the FMH patients, I don't think that we have
13 atoxic examination from the patients -- as I said,
14 many of the patients with FMH died more than 30 days
15 after treatment procedure. The four percent on each
16 arm who died within 30 days did not have atoxic.

17 DR. JOHNSON: Okay, moving to your
18 material you provided to us -- I'm going to skip over
19 several things. I think they've already been
20 discussed. I was curious on page 78 of your
21 submission, specifically talking about the curative
22 group with the early stage disease which --

23 DR. AZAB: Which page?

24 DR. JOHNSON: Page 78.

25 You had mentioned to us the fact that

1 these were patients in your so-called indication group
2 that your expert panel had determined were not
3 candidates for radiation therapy or surgery. Yet, I
4 find out that seven of these patients subsequently
5 received radiation therapy upon progression after PDT.
6 That's seven out of 24, two of whom received external
7 beam radiation, I believe. Or, I'm sorry, six of whom
8 received radiation therapy, two of whom received
9 external beam radiation; four of whom received
10 endobronchial -- brachytherapy.

11 How do you reconcile those figures?

12 DR. AZAB: That is correct. That's a very
13 good question. Actually, there's a simple answer to
14 that. As you can see here from the 24 indication
15 patients who had subsequent therapy, none of them had
16 any surgical procedure which confirms their
17 ineligibility to surgery.

18 In terms of the radiotherapy, most of the
19 patients actually had -- these were patients who
20 recurred, who already were not indicated for surgery
21 or radiotherapy, and recurred after PDT. Most of them
22 received these radiations as palliative, not as a
23 curative intent. So, they were contraindicated for
24 surgery and radiotherapy for a curative intent. As
25 you can see from their survival, actually, all of the m

1 except one or two had survival less than one year or
2 six months. So, they received these treatments a s
3 palliative doses of radiotherapy.

4 DR. JOHNSON: Okay, thank you.

5 Now, on page 82 of your presen tation, you
6 indicated that there's a high risk of ulceration with
7 tracheal or main stem lesions. I wanted to know i f
8 any of the ulcerations that oc curred in this group of
9 patients occurred in your 24 indication patients? You
10 went back and forth in your presentation between the
11 total group of patients when talking about advers e
12 events, and to the indication patients often when you
13 were talking about efficacy issues. But you did not
14 break out, at least to my satisfaction, th e
15 differences in adverse events in that 24 group.

16 DR. AZAB: Okay. Adverse events wer e
17 quite comparable in the two groups. You're absolutel y
18 right. I'd like to first address the question i n
19 terms of the ulceration. They were all mild an d
20 superficial. They were not of concern in thes e
21 trials.

22 Could I have slide 366, please, whic h
23 actually details these events?

24 Most of these events were due to th e
25 pharmacological local effect of PDT. This slide show s

1 the indication versus the non-indication patients in
2 terms of all their respiratory events. These are the
3 indication and these are the non-indication. As you
4 can see, if you lump all the respiratory events for
5 these patients, they are quite consistent. If you
6 look, for example, I don't think that the ulceration
7 is here. Actually, in the indication, there was none
8 of them that had the superficial ulceration and nine
9 of the 78 non-indication patients had the superficial
10 ulceration. All of these were reversible with the
11 healing of the tissues after the pharmacological
12 effect.

13 DR. JOHNSON: Okay, leave that there just
14 for a moment.

15 DR. AZAB: I'll leave it here.

16 DR. JOHNSON: You may answer some of my
17 other questions.

18 DR. AZAB: These are subgroups of the
19 patients. These are not perspective comparison of the
20 indication. This is the retrospective grouping of the
21 indication versus the non-indication. So, we did not
22 do any formal statistical comparisons there.

23 DR. JOHNSON: Okay. Also on this page,
24 you mentioned the fact that there were 11 patients who
25 experienced life threatening adverse events.

1 DR. AZAB: Yes.

2 DR. JOHNSON: You mentioned that there
3 were three patients that experienced life threatening
4 dyspnea which required emergency medical treatment,
5 including tracheostomy.

6 DR. AZAB: Yes.

7 Can I have slide 354?

8 These are all the life threatening events
9 which occurred within 30 days of a treatment
10 procedure. Two percent of them was actually
11 photosensitivity, but slightly severe sunburn and they
12 were still reversible. Four patients --

13 DR. JOHNSON: Excuse me. Wait just a
14 minute.

15 DR. AZAB: Yes?

16 DR. JOHNSON: You characterized as a
17 slight sunburn severe and life threatening?

18 DR. AZAB: No, no, no. No, no, severe --
19 yes, the terminology that was used in the trials was
20 severe and very severe. So, all the photosensitivity
21 reactions when they happen in a very severe form --
22 and these two patients particularly had some physical
23 erythema and vesiculation, so the investigator
24 characterized them as very severe. In the protocol,
25 very severe -- here, we used the -- very severe as

1 life threatening.

2 So, actually, I think it's a problem o f
3 terminology. These were reported as very sever e
4 photosensitivity reactions. None of these patient s
5 died or had any long-term sequelae or skin graft i n
6 any sort of life threatening way. They were jus t
7 reporting a sunburn photosensitivity which th e
8 investigator noted as very severe. In ou r
9 terminology, we used very seve re as life threatening,
10 but it's a problem of terminology.

11 Oh, okay, I have actually disc overed that
12 these were, as you see here, it's a severe/lif e
13 threatening. So, these actually are reported a s
14 severe, not very severe. So, I'm sorry about that.

15 The ones who were reported were sever e
16 dyspnea. These were reported in four patients and as
17 Dr. Edell went through the presentation, all of them
18 are more-or-less predictable from the -- if you look
19 at these four patients, two of them received a n
20 overdose of the light. These were investigator -
21 sponsored studies, so we did not have the same contro l
22 that we had on the key studies and the palliation.

23 So, two received an overdose o f light and
24 two patients were -- one of them were treated and bot h
25 main stem had two lesions and treated with both main

1 stem lesions at the same time. So, with th e
2 inflammatory response, it was predictable that h e
3 would get that severe dyspnea. If they were treated
4 sequentially, that could have been avoided. And the
5 other one had a pneumonectomy before and had a on e
6 sole remaining airway and was treated on one on th e
7 remaining airway.

8 I just wanted to mention that thes e
9 patients -- 70, as Dr. Edell mentioned -- 75 percent
10 of these patients in the indication had prior lun g
11 cancer, probably at the higher stage when they entere d
12 the trial and they had exhausted several othe r
13 therapies. So, they were not the newly diagnosed or
14 newly screened early cancers.

15 DR. JOHNSON: So, you had proposed t o
16 exclude them from your indication? Is that wha t
17 you're suggesting?

18 DR. AZAB: The indication excludes th e
19 patients who are candidates for surgery an d
20 radiotherapy.

21 DR. JOHNSON: No. No, no. You are tryin g
22 to make a case for yourself by saying that these had
23 been patients with previous cancer, lung cancer, and
24 therefore had had previous treatment.

25 DR. AZAB: Yes.

1 DR. JOHNSON: I understand that. Are you
2 suggesting that's the reason that they should be
3 excluded from the indication for PDT?

4 DR. AZAB: Oh, no. I was just making the
5 point that they have other high risk factors in having
6 the multiple --

7 DR. JOHNSON: Right.

8 DR. AZAB: -- and prior treatments, as I
9 said.

10 DR. JOHNSON: Right. Okay, we understand
11 that.

12 DR. AZAB: Thank you.

13 DR. JOHNSON: So, I'm still unclear in my
14 mind, why would two people receive an overdose? Was
15 this just simply a physician error?

16 DR. AZAB: Yes. It was -- yes, I mean, we
17 can show the slide showing the history of that
18 particular patient. As I said, these are
19 investigator-sponsored trials that we collected the
20 data for. So, if the investigator decided for that
21 lesion at that time that we'd had a light dose --

22 DR. JOHNSON: So, it wasn't an equipment
23 error or something of that nature?

24 DR. AZAB: No, no, no. That was a
25 physician. This is the patient with the light

1 overdose. He had six overlapping light doses. On e
2 site got four times the usual dose. He had just - -
3 the inflammatory reaction was exaggerated. And even
4 with that light dose which was very high, th e
5 inflammatory action was the fibrin plug durin g
6 treatment. This resulted in a severe dyspnea because
7 it blocked the airway, but resolved through sten t
8 placement and the patient recovered.

9 DR. JOHNSON: Okay, thank you.

10 DR. AZAB: Thank you.

11 Yes, please?

12 DR. SIMON: A couple of questions. On th e
13 palliative patients --

14 DR. AZAB: Yes.

15 DR. SIMON: -- the symptom assessment .
16 Was there any producability evaluation of th e
17 assessability, evaluation of symptoms by th e
18 physician?

19 DR. AZAB: I'm not sure I understand the
20 question.

21 DR. SIMON: Well, you said that th e
22 evaluation of symptoms was based by the physicia n
23 asking the patient --

24 DR. AZAB: Yes.

25 DR. SIMON: -- "is your cough improved?"

1 Was that done in duplicate to see that you get th e
2 same answer when two different people ask the sam e
3 patient?

4 DR. AZAB: Oh, no. There was not. Th e
5 cough, in terms of improvement, that was not th e
6 simple question. It was questioning through the scale
7 that we've provided. The improvement was defined as
8 at least one grade improvement in that scale.

9 DR. SIMON: How was that done physically?
10 Was that done by handing the p atient a piece of paper
11 and filling it out?

12 DR. AZAB: No, that was by direc t
13 questioning during the consultation.

14 DR. SIMON: So, for a symptomati c
15 evaluation, there's no reason to believe that that's
16 reliable in any way, I would think, particularly i f
17 the questioning is being done by the physician who is
18 an expert in that particular modality of therapy?

19 DR. AZAB: Well, the physicians, ou r
20 experts, were mostly chosen be cause they were experts
21 in the Nd:YAG. Actually, Photofrin PDT was a n
22 experimental modality for them. So, it is true - -
23 you're absolutely right -- this is an open trial and
24 these symptoms are prospective scales that could have
25 the subjective evaluation. We always struggle to --

1 we know that in cancer patients, it's very important
2 to demonstrate therapeutic benefit to the patients .
3 And the therapeutic benefit to the patients, sometime s
4 we struggle with the objective response -- what that
5 means if the tumor shrinks or not, although it i s
6 objective. One of the ways is to look at th e
7 symptoms.

8 So, it is certainly not ideal because it
9 is subjective in a way, but it was at least provided
10 in a prospective scale. But I acknowledge your point .

11 DR. SIMON: My other question involves th e
12 patients with superficial lesions.

13 DR. AZAB: Yes?

14 DR. SIMON: You've identified a n
15 indication subset of patients who were not suitabl e
16 candidates for radiation or surgery. And with th e
17 radiation we're talking about now was curative dos e
18 radiation. What is the dose with curative intent, th e
19 dose of radiation, to an in situ lesion?

20 DR. AZAB: We used the expert radiatio n
21 oncologist to provide with the evaluation of th e
22 patients.

23 So, Dr. Rosenthal, would you like t o
24 address that question?

25 DR. ROSENTHAL: I think that's a difficul t

1 question because there are --

2 I'm Dr. Seth Rosenthal from Sacramento.

3 That's a difficult question. There is a
4 large experience using radiation for invasive T1 lung
5 carcinomas. In that situation, a curative dose is on
6 the order of 60 to 65 gray. There is experience using
7 radiation for carcinoma in situ in other sites, on the
8 larynx and the cervix. In those situations, shorter
9 doses are in the range of 60 range. However, you are
10 correct that there are not any large published series
11 of curative radiation for Tis of the trachea
12 bronchial -- of the bronchus.

13 DR. SIMON: What was the subsequent
14 palliative dose radiation? What doses were given to
15 these patients, the indication set of patients?

16 DR. AZAB: In the page that was provided
17 in the ODAC documents, some of them had actually the
18 number of grays in the table that Dr. Johnson referred
19 to. It's about 20 gray. That was the doses used for
20 the palliation afterwards. Actually, in terms of the
21 concentration, there is a debate, actually, in the
22 literature that the Tis -- radiotherapy is probably
23 not a standard treatment for Tis because of the
24 absence of curative survival data following
25 radiotherapy in Tis patients because they are very

1 rare.

2 DR. SIMON: Thank you.

3 DR. AZAB: Yes?

4 DR. MARGOLIN: Well, I'm not sure if you
5 provided it and I missed it, o r if you didn't provide
6 it -- what the breakdown was between the tw o
7 modalities: the YAG laser versus the PDT amon g
8 individual operators? In othe r words, at the centers
9 that had the larger numbers of patients, was ther e
10 some kind of block randomization to make sure that the
11 same person was doing approximately equal numbers of
12 procedures? You didn't have, you know, Dr. X doin g
13 all YAGs and Dr. Y doing all PDTs?

14 DR. AZAB: No, no, they were stratified.
15 You're right. They were stratified by center, so in
16 the center that these -- the b locks were of the block
17 size of four and in all of the centers after four ,
18 they would be balanced. In each center they woul d
19 have two YAG and two PDT patients.

20 CHAIRMAN DUTCHER: Dr. Temple, do you want
21 to say something?

22 DR. TEMPLE: I only wanted to make a n
23 observation that lumping adverse reactions as severe
24 and life threatening is an apples and orange s
25 classification. It's not what you usually do. Yo u

1 lump serious, which has various definitions and life
2 threatening. Severe alopecia is still not a lif e
3 threatening -- so that's probably what leads to some
4 of that confusion. It isn't the usual way w e
5 recommend doing it.

6 DR. SCHILSKY: I just had a couple mor e
7 questions that came to mind during the discussion.

8 DR. AZAB: Sure.

9 DR. SCHILSKY: I just wanted t o be clear.
10 On the two randomized palliative studies, one of which
11 I guess was actually closed prematurely.

12 DR. AZAB: Yes.

13 DR. SCHILSKY: Maybe you can e xplain why.

14 What was the statistical design of those
15 studies? Were those studies designed to attempt t o
16 demonstrate superiority of PDT over YAG, or were they
17 designed to demonstrate equivalence?

18 DR. AZAB: They were designed t o
19 demonstrate superiority in the YAG, actually. The y
20 had identical design, as I mentioned, and that wa s
21 based, actually, from the protocol on one of th e
22 endpoints that that was not useful because it was an
23 aggregate endpoint. That was a time to treatmen t
24 failure. The design was to have a ratio of 1.5 of YA G
25 over PDT, which means that PDT is about 50 percen t

1 better than YAG.

2 The European study, or the Study P50 3
3 achieved the number of patients and the number o f
4 events that were required by the protocol.

5 You are correct, the other study, P17, wa s
6 closed prematurely because of difficulty i n
7 enrollment. These studies were run between '89 an d
8 '93 and the Study P17 had one of the problems o r
9 causes of slow enrollment spec ified that all patients
10 had to have recurrent disease and had to have prio r
11 therapy exhausted or prior therapies. Over the cours e
12 of 14 months, there was only 71 patients included. A t
13 the time that was realized, we modified the criteria
14 and Study P503 started, we allowed newly diagnose d
15 cases if they were not operable to be included. Stud y
16 503 had no problems of enrollment and completed th e
17 enrollment and the number of events required for the
18 analysis according to the protocol.

19 DR. SCHILSKY: I have one question about
20 the superficial study. I beli eve Dr. Edell mentioned
21 in his presentation that about 80 percent of th e
22 tumors were radiographically occult.

23 DR. AZAB: Yes.

24 DR. SCHILSKY: How were those patient s
25 diagnosed?

1 DR. AZAB: Yes. These patients were
2 diagnosed usually because -- this patient population
3 are a group we're presenting because of the indication
4 that they are not eligible for surgery and
5 radiotherapy. Most of them, as I said, 75 percent,
6 had prior lung cancers and were followed up. So,
7 these lesions were --

8 DR. SCHILSKY: Were these getting
9 bronchoscopies all the time?

10 DR. AZAB: No. No, sputum cytology or
11 bronchoscopy. These patients were either diagnosed
12 by sputum cytology or by bronchoscopy because these
13 patients had prior lung cancers and they were followed
14 up. As we said, these are 100 patients in three
15 institutions over ten years. It is not common.

16 DR. SCHILSKY: If I'm not mistaken, in the
17 submission, at least some of the patients on those
18 superficial studies actually had metastatic cancer,
19 which I take it to be from a prior lung cancer. Is
20 that correct?

21 DR. AZAB: No, no. Some of them had prior
22 lung cancer of a higher stage, like the T2 or T3.
23 None of them was metastatic --

24 DR. SCHILSKY: None of them had metastatic
25 disease?

1 DR. AZAB: No, no. No, no.

2 CHAIRMAN DUTCHER: Dr. Raghavan?

3 DR. RAGHAVAN: Yes, I'd like to follow up
4 on Dr. Margolin's question about the randomization
5 process.

6 If I understood you correctly, you said
7 that to ensure parity within each center, you had the
8 full block technique in place. Did I understand that
9 correctly?

10 DR. AZAB: The stratified by center, which
11 means each center -- there were blocks by center. So,
12 for each center, the randomization block of four, the
13 block size of four -- that in each center when they
14 reach four patients, they would have two PDT and two
15 YAG.

16 DR. RAGHAVAN: Right.

17 DR. AZAB: But the block size was not
18 known by the investigator, so they did not know that
19 information. Of course, we don't provide it.

20 DR. RAGHAVAN: Right. But at least in
21 practical terms, given that you did have a four block
22 size, it's not unreasonable to assume that an
23 investigator who was actively participating would know
24 that, for example, on a random basis, he had drawn one
25 PDT, one YAG, one PDT, and the fourth patient would

1 therefore have to be a YAG.

2 So that, what I'm getting at is what i s
3 the chance of bias here for an investigator to get th e
4 sense that as he already had two of one and one of th e
5 other, it was a pretty good statistical chance tha t
6 the next one would be whatever was missing?

7 DR. AZAB: This is very diffic ult because
8 as I said, we did not provide the block size. Th e
9 balance of the patients could be at two, at four, at
10 six, or at eight. And simply because the block size
11 was four, so it was probably never a PDT, YAG, PDT ,
12 YAG. There was sometimes you could have two i n
13 sequence. So, they did not know the block size.

14 The randomization was central. They did
15 not have the envelopes. That was done centrally. So ,
16 it is not possible -- I mean, it is very difficult to
17 know.

18 CHAIRMAN DUTCHER: Dr. Ozols?

19 DR. OZOLS: Could you better define th e
20 contraindication you propose that it's contraindicate d
21 patients with a tumor eroding into a major bloo d
22 vessel? I mean, many of these, obviously, ha d
23 hemoptysis. How would you define specifically which
24 patients you would not recommend this?

25 DR. AZAB: Yes, these patients, as I said ,

1 as part -- many of them would have due to -- as th e
2 natural progression of the disease would have fata l
3 massive hemoptysis. Actually, we have some data from
4 the literature, from large -- of about 800 that ha s
5 seven or eight percent natural incidence of fata l
6 massive hemoptysis.

7 But to answer your questions, what w e
8 require is that the patients have the adequte stagin g
9 usually, which is usually done for all these patients
10 by CT scan. We use a contrasting fusion, rapi d
11 sequence imaging to identify if the tumor is ver y
12 close to the vascular structur e. If that's the case,
13 then it should be contraindica ted because there would
14 be a higher risk of hemoptysis. But if you leav e
15 these patients -- actually, even those patients - -
16 probably the natural -- any treatment -- it is no t
17 unique to PDT. Any effective treatment would have th e
18 same effect in terms of necrosis tumor. And if yo u
19 leave the tumor to progress, it could have the sam e
20 effect.

21 I don't know if Dr. Pass would like to ad d
22 any further comment to that?

23 DR. PASS: Harvey Pass.

24 I think it's an excellent question. But
25 my own thoughts on this is that these patients ,

1 especially the early patients and the palliate d
2 patients are in some work-up at the time. So, I thin k
3 that if a higher stage patient has an interbronchial
4 tumor, that has to be worked u p to see if alternative
5 therapy, induction chemo-radiation therapy has to be
6 done. They're going to get a CT scan.

7 So, despite the fact they have dyspne a
8 from an interbronchial disease, that CT scan shoul d
9 alert the investigator as to w hether it's going to be
10 safe to relieve their dyspnea right now before they g o
11 on to, say, an induction chemo -radiation program. In
12 the early stage disease, that may not be such a bi g
13 problem. But I agree with you that the best standard
14 techniques to rule out abutment or invasion o f
15 pulmonary veins, arteries or other structures should
16 be performed.

17 DR. TEMPLE: Just a brief comm ent. We've
18 usually accepted, I think, mas ked designs that used a
19 constant block size. A lot of people now are varying
20 the block size in sequence so that it's sort of a bel t
21 and suspenders. It's probably a little better, bu t
22 that's a recent change.

23 CHAIRMAN DUTCHER: Dr. Krook?

24 DR. KROOK: A question because I suspect
25 that somebody has some experience of doing thi s

1 therapy and then removing the lung to see what tumor
2 remains. The question to Dr. Edell is, at Mayo, you
3 kind of said that all people with superficial, or at
4 least a large group, was getting the photo therapy .
5 Has there been surgery done on some of these
6 afterwards, to know what pathologically is present?

7 DR. AZAB: Dr. Edell, would you like to
8 take this?

9 DR. EDELL: Okay.

10 DR. KROOK: I mean, here's a situation
11 that's superficial, and then for some reason, surgery
12 is done in addition, removal. Even the surgery in
13 these superficial lesions must yield a fairly long
14 survival cure. I'm just interested what
15 pathologically it would look like, if you know.

16 DR. EDELL: In the study that I reported
17 where we are treating surgical patients in a single
18 arm, PDT first. If they have a complete response ,
19 they're followed out to two years every three months,
20 and then yearly for a total of five years. We've had
21 43 percent of those avoid a thoracotomy at this time.
22 Those that went on to thoracotomy, either because they
23 did not have a complete response or they recurred ,
24 went on to surgical resection.

25 All of those patients are currently alive .

1 The follow-up is out over 62 months. Those patients
2 that we republished had to have a follow-up of a t
3 least two years and we have no deaths in that grou p
4 from cancer at this time.

5 DR. SWAIN: Do you have any comparabl e
6 data with the YAG laser in the superficial group?

7 DR. AZAB: Dr. Edell?

8 DR. EDELL: We don't. I shoul dn't say we
9 don't. I mean, I have had patients who were non -
10 surgical candidates with a lit tle exophytic tumor who
11 I felt were too bulky for a curative treatment wit h
12 phototherapy. We would debulk with the YAG laser and
13 then use phototherapy on the non-surgical protocol.

14 If you look in the literature, there are
15 some investigators that have reported the use of YAG
16 laser for superficial cancers. As long as you ca n
17 tell the entire extent of these superficial cancers - -
18 and they tend to be rather like crabgrass in you r
19 front yard. It's difficult to see where all th e
20 little carcinoma is going. You might be able t o
21 accomplish that in some very small lesions. Th e
22 concern is that you don't end up treating the entire
23 surface of the cancer. There aren't any studies that
24 I know of in large groups of patients, using another
25 modality other than phototherapy for these cancers .

1 There's some small series looking at interbronchia l
2 radiation therapy, but it doesn't come close to th e
3 numbers with phototherapy.

4 CHAIRMAN DUTCHER: Thank you very much.

5 I think we'll take a break at this point.

6 DR. SIMON: I have one more question.

7 CHAIRMAN DUTCHER: One more question .

8 Okay, Dr. Simon.

9 DR. SIMON: Your assessment of objective
10 response, did you say you have photographs of th e
11 diameter?

12 DR. AZAB: Oh, yes. All of these wer e
13 evaluated. Actually, they were video settings. We've
14 transcribed all -- because this is on video, all the
15 endoscopy procedures. We just chose two examples of
16 YAG and PDT that we provided to the Committee on the
17 video. But we actually have for most of the patients ,
18 video settings of their responses and their --

19 DR. SIMON: Now, was there any review of
20 that response assessment, othe r than by the physician
21 who did the treatment?

22 DR. AZAB: No, there was no -- and sort of
23 like an independent extramural review of thes e
24 responses because it is very i mportant to -- although
25 you get the video for the confirmation, and it i s

1 obvious for the tumors who have a complete response,
2 for example. But for the assessment of the lumina l
3 diameter, it is best used during the endoscopy itself .
4 It's very difficult to get a s ense of confirmation of
5 the luminal damage from just the video footage.

6 CHAIRMAN DUTCHER: Any other questions?

7 Okay, we'll take a break. We'll come bac k
8 at 10 to 3:00 to hear the FDA presentation.

9 (Whereupon, off the record at 2:39 p.m.,
10 until 2:57 p.m.)

11 CHAIRMAN DUTCHER: Okay, we're going t o
12 continue with the FDA presentation.

13 Dr. Williams?

14 DR. WILLIAMS: Dr. Dutcher, Members of the
15 Committee and guests, it's my pleasure to present to
16 you the FDA analysis of the efficacy data of Photofri n
17 for lung cancer, the efficacy supplement for lun g
18 cancer.

19 It has been an enjoyable process goin g
20 through the data. We have a review team which I' d
21 like to introduce to you. The medical, it's myself.
22 And Robert Justice, the secondary review.
23 Statistical, Tony Koutsoukos and Claire Gnecco. I
24 left Richard Felton -- how could I ever do that? - -
25 off the review. We don't usually have a device perso n

1 here, but Richard's from Center for Device review .
2 He's been following Photofrin the eight years I've e
3 been following it, and I think he was following i t
4 before. Also, we have scienti fic investigations, Gus
5 Turner, who will be looking at trials and the quality
6 of the data. And then project manager is Pau l
7 Zimmerman.

8 Now, I never miss an opportunity to plug
9 for good submissions of electronic data to the FDA ,
10 and this would be no exception. In this case, I gues s
11 I have an example. I really appreciate the good job
12 that QLT did, both this time and in 1994, in getting
13 good quality data to us. At least, I'd say goo d
14 electronic data. Well, you kn ow, I shouldn't confuse
15 the quality of the data with the quality of th e
16 electronic submission. It's n ot necessarily the same
17 thing.

18 The study reports and the protocols were
19 in the word processor so you could cut and paste a d
20 nauseam. The primary data, which basically in thi s
21 case, the Photofrin data, was all submitted in a n
22 electronic form that was usefu l to me. In this case,
23 it was in Access. Then there was good documentation
24 of the data. You could understand where it came from ,
25 that it came from this blank i n the case report form.

1 Then you could correlate back and forth.

2 We also had very good electronic mail
3 communication. Some of the analyses you saw today
4 were exchanges of E-mails this week. So, it's very
5 helpful. And all of these things, I think we should
6 be trying to arrange at the pre-NDA meeting. And I
7 should say my presentation is somewhat more boring
8 because of this because they presented some of my
9 findings already.

10 The palliation indication, basically as
11 you've seen, there were two studies. There was a
12 European study which was basically finished, and a US
13 study which was about one-third finished which was
14 stopped due to poor accrual. They had identical
15 designs, identical protocol. Both of them were
16 randomized, open label, multi-center controlled trials
17 with thermal ablation with the Nd:YAG, which I'll call
18 YAG from now on, and PDT with Photofrin, which I'll
19 refer to as PDT.

20 The primary endpoints of the protocol --
21 and we always start out an NDA review with review of
22 the protocol -- basically was time to tumor
23 recurrence. This wasn't practical because most of
24 these tumors never went away. That was the primary
25 endpoint. The secondary endpoint was symptom

1 palliation which had problems because there was n o
2 perspective analysis plan which is key to evaluating
3 symptom data. It's, as Dr. Simon has mentioned ,
4 subject to bias. You have investigators who know wha t
5 they're giving, talking to patients. This type o f
6 data is sensitive to the quality of the data or th e
7 completeness of the data, and the data here was no t
8 complete. So, there's certainly problems with bot h
9 primary endpoints.

10 Response was a secondary endpoint bu t
11 there was problems with that t oo. Tumor measurements
12 were part of the original response category, but i n
13 this case, they were not -- I think partly fo r
14 technical reasons, they were not collected in man y
15 patients. I think rightly so, luminal diameter -- th e
16 50 percent change in luminal diameter was considered
17 as a reasonable response endpoint. There are problem s
18 with it though. For instance, is it clinicall y
19 meaningful? Every 50 percent change in lumina l
20 diameter does not have the sam e clinical meaning. It
21 changed from one millimeter to 1.5 millimeters. I f
22 that can even be measured, would be a 50 percen t
23 change. So, there are problems with that, but some o f
24 them are obviously clinically significant. There was
25 not an analysis plan specified. When do you measure

1 it: at a week or at a month or anytime, et cetera?

2 So, these are the problems that when you
3 come to analyze it, you have to make these decisions.
4 They affect how you look at a p value because you've
5 made a choice other than the one that's been
6 specified. There was also, in the case of report
7 form, there were data on the percent obstruction. So,
8 instead of the number of millimeters, how much of the
9 lumen was obstructed? That could have been chosen.
10 So, these are the different problems one has to deal
11 with with analyzing response.

12 Now, there are problems with the inherent
13 nature of the treatments and with the protocol
14 perhaps, in that the Photofrin was given at a
15 different schedule as YAG and therefore, the data may
16 be affected. If you look at Photofrin, it could be
17 given every 30 days, may retreat in 30 days. Whereas
18 YAG, it said -- of course, maybe have multiple laser
19 sessions. Then the course ends if palliation is
20 achieved or the investigator deems additional
21 treatment would be futile. So, you've got a judgment
22 here that seems to be coming a little earlier than on
23 the Photofrin arm.

24 And here, it's rephrased in terms of
25 removing patients from study seems to be somewhat

1 different. In Photofrin, if there's no evidence of
2 palliation or objective response after two courses of
3 Photofrin, then you remove the patient. But in YAG it
4 said if further treatment is deemed futile, then you
5 may remove the patient. Again, I think this implies
6 if you don't have a success with your first treatment,
7 then you would take them off in course one. Perhaps
8 this summary is in for some differences in data
9 collection.

10 There's certainly potential for bias in
11 this study. Besides not being blinded, the treatment
12 schedules you saw were different and therefore, you
13 would have debridement that would happen and data can
14 be collected at those points. So, there can be some
15 variation in collection of data because the treatment
16 was different. They defined the course differently.
17 QLT has done an analysis, course one, month one.
18 Well, if you define course differently in one than the
19 other, then you have different data collected in the
20 two arms.

21 And then there's if you get more patients
22 dropping off study, there's a difference in data
23 collection. If you have more patients off study in
24 one arm such as, in this case, YAG, perhaps there's a
25 less chance for response because you don't go on to

1 get that second chance. Perhaps there's less time to
2 report adverse events. So, these may have been
3 factors in some of what we see in the trials.

4 So, in general, I think statistical
5 comparisons between these arms are unreliable and if
6 this is approved, wouldn't like to see them in the
7 labeling. The retrospective determination of the
8 primary response endpoint, that's something that was
9 selected. And they've selected a time window. So,
10 each of these affect my view toward you doing a
11 statistical analysis. The actual analytical plans
12 were retrospective. Asymmetry that we've talked about
13 in design, slight perhaps but some. And then this P17
14 was stopped prematurely and there was an interim
15 analysis some months before. So, again, these all
16 affect one's view toward p values in statistical
17 analyses.

18 The extent of follow-up was not that
19 different. In the first 30 days, there were ten
20 patients more who dropped out in the first 30 days on
21 YAG. The median follow-up was the same. The point
22 here is in terms of disposition of patients. You have
23 about 35 to 40 percent in each arm who progressed, and
24 about 30 percent who died -- a few more who were not
25 treated on the YAG arm. But 35 percent of the

1 patients went off for some other reason and I believe
2 many of these reasons are subject to bias. And this
3 is an unblinded study. So, again, we've got missing
4 data and the potential for bias.

5 So, I want to move on to -- and again ,
6 you've seen these analyses and you've also seen my
7 analyses. This was QLT analysis of the month one time
8 window for luminal response, or 50 percent change in
9 lumen. Sixty-one percent versus 35 percent in the
10 larger trial; 42 percent versus 19 percent in the
11 smaller trial. And again, 32 percent of the patients
12 versus 46 percent have no month one data. So, there
13 are more patients in the YAG arm without month one
14 data.

15 This is the analysis that I presented --
16 that the company presented that I did earlier, which
17 was to look at day 18 and any point thereafter, not
18 putting on an artificial time point, a time window .
19 In this case, the Photofrin rate was 64 percent in PDT
20 and 49 percent in YAG. Still superior, but not
21 statistically significant if you're going to do
22 analysis. In the other trial, 52 percent versus 22
23 percent. But by changing the time window, you can
24 certainly change the degree to which the Photofrin is
25 superior numerically to YAG.

1 I also did a few other analyses to get to
2 the point of what's a clinically significant objective
3 response. I looked at absolute changes of three
4 millimeter, absolute of five millimeter, changes of
5 percent obstruction rather than luminal diameter. I
6 present those to you in my review. The concept from
7 these is that Photofrin has a numerical advantage no
8 matter which of those you do, but the difference is
9 less marked. More of a lesser overall percentage,
10 more of maybe 30 percent response rate with some of
11 them. The greatest difference between Photofrin and
12 YAG is seen in the one month time window. So, that
13 particular analysis, I think because of asymmetry of
14 data, seems to look a little better for Photofrin.

15 I think there are problems with the other
16 endpoints, time to treatment failure and time to local
17 progression. I said that their endpoints -- aggregate
18 endpoints of fuzzy elements. Things like, you know,
19 going off study -- the patient went off study because
20 they wanted to or because they wanted to get other
21 treatment. Those sort of things that we have
22 difficulty saying that the bias is not involved in.

23 You've seen these data on the symptom
24 improvement, 30 percent versus 17 percent for dyspnea.
25 And for cough and hemoptysis, not quite as much but

1 still numerically superior findings for symptom
2 improvement. But again, you look at the missing data ,
3 26, 28 percent versus 41 to 44 percent -- a good deal
4 of this just could be because the patient isn't
5 reporting improvement. So, I think we can't make any
6 strict statistical comparisons between arms.

7 So, with the symptom data, there's no
8 prospective plan. There's missing data -- a large
9 amount of missing data and it seems to be asymmetric.
10 The month one cutoff favored Photofrin. For example,
11 I looked at the two analyses doing month one versus
12 any time, and by doing the month one, you exclude
13 eight improvements on YAG versus two on Photofrin .
14 So, there seems to be, certainly, some bias in the
15 time at which data was recorded.

16 The applicant has already defined their
17 clinically important benefit definition, and they
18 found 36 patients on Photofrin and 23 on YAG that had
19 clinically significant improvement, or clinically
20 important benefit. I looked at the graphical
21 summaries of these patients which, I think you have
22 examples in your background briefing package which
23 would put the objective response and the toxicity and
24 the subjective tumor -- the symptom data. And just
25 sort of a gut reaction, does this look real or is

1 this, you know, an accident of the numbers? Looking
2 through them, I agree that just my gut feeling is that
3 33 of them seem to be genuine because several of them
4 had more than one category. So, they would have a
5 tumor response and then they would have a symptom
6 benefit. But again, that's not hard. It's just a
7 quality control of categories that they've submitted.

8 Toxicity -- I think all of these have been
9 discussed before. There's more in photosensitivity,
10 psychiatric, dyspnea, bronchitis. Now, hemoptysis is
11 not statistically significant, but you keep seeing it
12 being a little more on Photofrin throughout the
13 trials.

14 More serious problems, fatal massive
15 hemoptysis. If you look, again, it's not
16 statistically more. There are 10 in Photofrin and six
17 in YAG. But what is very clear is that the prognostic
18 factor for this is prior radiation therapy, 24 percent
19 versus 14 percent, versus two percent and zero
20 percent. Again, this may just be a marker for
21 patients who have had disease longer. I don't know,
22 but it's very clear that this is a group of patients
23 who have a higher risk of hemoptysis, both on
24 Photofrin and YAG.

25 Looking at adverse reactions, again, we

1 won't call them life threatening, but very severe .
2 Severe was actually a little higher in YAG and ver y
3 severe quite a bit more on Photofrin. Many of these
4 were pulmonary, dyspnea -- put together dyspnea ,
5 hemoptysis, coughing. I'm not sure coughing was i n
6 there, but most of them were pulmonary. However ,
7 there was not an increase in deaths within 30 days ,
8 which I think we'd be looking carefully at. Media n
9 survival, they're not powered to detect that. Bu t
10 for what it's worth, it was not different.

11 So, to summarize my findings, that over 5 0
12 percent of the patients in each study had lumina l
13 response at some point after day 18. Thirty-tw o
14 percent had this category identified as clinicall y
15 important benefit, which is an aggregate of durabl e
16 response and larger changes in symptom grade. But I
17 would say that the data all shows Photofrin to hav e
18 numerically superior values. I would frown on an y
19 statistical comparisons.

20 In terms of safety findings, there wa s
21 more photosensitivity, dyspnea, bronchitis an d
22 psychiatric adverse events. This one I'm not sur e
23 about. It was only seen in one trial. I don' t
24 understand it. It's anxiety a nd things like that. A
25 non-significant increase in hemoptysis and fata l

1 massive hemoptysis.

2 So, those are my findings. I'd be ver y
3 glad to get your input on this because I think there' s
4 effica cy. There is evidence of patient benefit an d
5 there's evidence of toxicity. I think it's a valu e
6 judgment which ODAC would have a very strong hand in
7 the making.

8 The second indication was for superficial
9 lung cancer. These, again, were single arm studies.
10 As you get into the study reports, you realize tha t
11 they're all not really prospectively following a
12 protocol. Study P506 actually is compassionate us e
13 data that was retrospectively gathered and they were
14 more treated with, I think -- they may have bee n
15 treated with a protocol but th ere was no one specific
16 protocol. Fourteen of the patients in this study --
17 14 out of 32 -- were treated with a protocol, a
18 different protocol that retrospectively gather e d
19 together because they were in this group of patients.
20 And then this French study, they were all put o n
21 protocol. Actually, the best quality of data may hav e
22 come from this study, the German study. Certainly ,
23 the very high adverse reaction rate I think was due t o
24 the meticulous collection of data.

25 I think the first big question is wa s

1 surgery and radiation contraindicated in this group o f
2 indication patients? Because we are assuming tha t
3 radiation therapy and surgery are standard treatments
4 and that there's a group of patients out there tha t
5 can't get radiation and surger y. We are viewing some
6 of those patients. The way I broke it down was t o
7 look through the listings. Seventeen of them either
8 had multi-focal disease or had previous radiatio n
9 therapy. In discussing with radiation therapists ,
10 these are pretty good exclusions for radiatio n
11 therapy. In the other seven, their pulmonary functio n
12 rate ranged from FEV ₁ to .6 to one liter.

13 So, I would say that if there is a group,
14 this is the group. I believe there are surgeons that
15 are doing very selective surgery that might consider
16 they could operate on some of these patients. Bu t
17 when you get down to multiple tumors and patients wit h
18 bad lung function, I feel like this group is as close
19 as you could get and I think your input is valuabl e
20 here also. There were analyses done recently -- I
21 don't believe that they're any thing that I have given
22 you -- that show that the efficacy we've discussed ,
23 but the safety also was similar to that in the al l
24 patients' analysis. So, safety and efficacy wer e
25 similar in this group to the all patients' analysis.

1 But then the question is, what are th e
2 results? The methodology that I used in my review ,
3 the main work I did really in this review was t o
4 review individual case records in various ways t o
5 establish what the last biopsy date was. What I found
6 was that in the time to recurrence listings, ther e
7 were very large gaps between t he last biopsy date and
8 the date of recurrence. So, t here were patients that
9 had a last biopsy on day seven and maybe they dropped
10 out on day 1,000, and they wer e being called duration
11 of 1,000. Or perhaps they recurred on 1,000, but the y
12 were having a duration of 1,000, which would have a
13 dramatic effect on your time to recurrence curves .
14 The frequency of the biopsies obviously were nothing
15 like what the protocol specified, which was abou t
16 every three months early-on. And that there were man y
17 CR1 biopsies that only had very early biopsies. Yet
18 this was their evidence of complete response.

19 So, what I presented here, I made up m y
20 own CR1 category, which is a t hree month CR1 which is
21 really quite standard, I think. If you look a t
22 bladder cancer, superficial bladder cancer, et cetera ,
23 they all require at least a three month follow-u p
24 before you declare CR. QLT's findings were a 7 9
25 percent response rate in all patients and 92 percent

1 in indication. And then applying the three month
2 standard, mainly due to lack of biopsy -- not that
3 they recurred early, but just the fact that they
4 didn't have a biopsy after that early biopsy to
5 demonstrate that they were in complete response, it
6 dropped to 47 percent and 62 percent. So, the overall
7 groups dropped.

8 I thought it was important, and I'm having
9 problems deciding about the carcinoma in situ group.
10 What is appropriate for that group versus what is
11 appropriate for the T1 group? And again, I think
12 Committee discussion of this would be important. The
13 T1 group and the Tis group had about -- well, let's
14 see, in the applicant's response, it was 82 percent
15 versus 96 percent. In the FDA analysis, they were
16 both about 50 percent. The T1 was 50 percent and the
17 Tis was 50 percent. The question is, what does this
18 mean? What is the natural history of T1 versus these
19 alternate treatments? What's the natural history of
20 Tis versus alternate treatments? I think it's a very
21 difficult question, but I think it's useful to divide
22 these out. I would assume that T1 patients recur
23 sooner clinically than Tis patients and would probably
24 be justified with getting a treatment with more
25 toxicity.

1 So, here are other findings in the T 1
2 patients. I mentioned the 51 percent three mont h
3 response. I also looked through the listing an d
4 looked at one year CR1 biopsies proven complet e
5 response. Thirty-one percent had it documented at at
6 least a year. And as you look through the listings,
7 I think, of the most recent re view update I sent you,
8 the listings of patients whose biopsies are ou t
9 farther or who maybe died without evidence of tumo r
10 sometime out. There are people who go out farther .
11 But these are the hard data for CRs extending to thes e
12 times.

13 Median diseased specific survi val was 5.7
14 years. I think this is a valid data point in th e
15 original application, survival 3.5 years. Advers e
16 events, severe in six percent, life threatening or we
17 should say very severe -- I'm not sure what they are
18 anymore, but they are five percent. Some of thes e
19 really were life threatening. That's very clear. In
20 this case, I think these were.

21 One particular study had a very hig h
22 incidence of adverse events, of the German study .
23 Part of it may be that they we re collecting predicted
24 events , but there was a 33 percent incidence o f
25 stricture. They had more severe and very sever e

1 events also. So, I have some suspicion that th e
2 adverse event rate is higher than is reported in the
3 other studies. I think there's good evidence tha t
4 they didn't do biopsies very rigorously, and I think
5 they might not have collected adverse event data a s
6 rigorously.

7 I think there's one fatal massiv e
8 hemoptysis death from Photofri n. It happened 20 days
9 after a procedure. Originally , this patient was said
10 to have a CR with one of the early biopsies, so I
11 can't imagine how they could have fatal massiv e
12 hemoptysis from anything but the treatment in the very
13 early cancers.

14 So, I think the two questions here, i n
15 view of the natural history of superficial tumors, do
16 the response data represent cl inical benefit for this
17 group or for a major subgroup? So, do you se e
18 evidence of clinical benefit? Then the secon d
19 question is, were the surgery and radiotherapy indeed
20 contraindicated in the indication patients? I think
21 the construct we're using, we need to have you r
22 opinion on both of these. We'll certainly be ver y
23 interested to hear your discussion.

24 Thank you.

25 CHAIRMAN DUTCHER: Thank you.

1 Do we have questions for Dr. Williams?

2 DR. WALKES: You said that the one death
3 from fatal massive hemoptysis was, you thought ,
4 because of the PDT. Was that one of the patients tha t
5 had had prior XRT?

6 DR. WILLIAMS: That's a good question. I f
7 they had had brachytherapy at that site?

8 Oh, okay, yes. That's okay. And so, fou r
9 months before, they did have b rachytherapy. So, that
10 would temper you a bit, I guess. But still, 20 days
11 after you get treatment is --

12 DR. WALKES: So, why is it that you ge t
13 FMH more often when they've had prior XRT?

14 DR. WILLIAMS: I don't know why for eithe r
15 YAG or PDT that you get a higher incidence of fata l
16 massive hemoptysis. It's clearly there. I think tha t
17 a complicating factor is that most of these patients
18 are also going to be later in their tumor course. So ,
19 whether if they're later in th eir tumor course -- and
20 I don't know -- I haven't seen a multivariate analysi s
21 or anything to see if you can separate it. I doub t
22 that we have enough data to do that.

23 I wonder if there are any comments fro m
24 the company?

25 DR. AZAB: Can I have slide 192, please?

1 Can I have the previous slide, please? Yes. The next
2 slide? Okay, I'm sorry. The next slide, next one .
3 This is some of the reported incidence on the
4 literature in treated with different treatments with
5 the brachytherapy or external beam. As I said, in
6 the summary, they were between four and 32 percent.
7 Actually, interesting, very recent '96, '95, a very
8 large series of patients treated reported incidence of
9 eight percent to 21 percent.

10 Next slide, please?

11 And these are actually from all the
12 series, the compilation of the risk factors for fatal
13 massive hemoptysis where the squamous cell carcinoma,
14 in particular. The majority of the patients in these
15 trials are squamous cell carcinoma in the trials we
16 presented. Those have more tendency to have
17 cavitation. In all those of those series, they
18 reported prior high dose radiotherapy as indeed a risk
19 factor, and also the location of the tumor. So, I
20 think, I mean, it's just that it is probably patients
21 who had a more advanced disease or probably the prior
22 high-dose radiotherapy could have some form of effect
23 on that, but these instances in the various series are
24 usually what is reported in the range.

25 CHAIRMAN DUTCHER: Doctor Schilsky.

1 DR. SCHILSKY: Grant, I had a couple o f
2 questions. One may seem a little bit trivial, but I' m
3 real curious to know your thoughts on thes e
4 psychiatries AEs. No one has really discussed that,
5 and it sort of hangs out there. It's not clear to me
6 that there is a logical mechanism, you know, fo r
7 those, unless it's just some actual toxicologic effec t
8 of the Photofrin. Do you think those are real? I
9 mean, do you think they are tr eatment related AEs, or
10 are they just events that are happening in a sic k
11 population of patients?

12 DR. WILLIAMS: I didn't really look to o
13 carefully at the timing of those. They were only in
14 one study. Again, I think maybe that was the German
15 study, which collected probabl y more rigorous data on
16 adverse events. So, I really don't know.

17 I think that's the kind of thing we need
18 to build into these sort of protocols when you ar e
19 trying to compare quality of life, you need to build
20 in time points where they are likely to be suffering
21 from whatever that treatment is. So, I really can't
22 say.

23 DR. SCHILSKY: I guess my othe r question,
24 it seems to me that in the superficial studies, yo u
25 know, that the critical factor we are going to have t o

1 consider, I guess, is based on the time to recurrence .
2 There's really not that much in the way of response
3 data and probably not that much in the way of symptom
4 control for these very early stage tumors. And so, I
5 wonder if you could give us your thoughts again on these
6 issues that we need to think about with respect to how
7 recurrence was documented. Was recurrence always
8 documented based upon repeat biopsy, or in some cases
9 was there actual clinical evidence of, you know ,
10 radiographically documented recurrence?

11 DR. WILLIAMS: I think it's a very
12 difficult issue because the patients have multiple
13 tumors. They'll have a superficial tumor which you
14 are doing a superficial treatment, you don't know if
15 it's growing deep, you don't know if a CT scan has
16 been done, and then they have metastatic tumor, you
17 don't really know if it's from there or the other.

18 The disease specific survival analysis
19 that the applicant did is valid. Every one of those
20 had cancer of some sort, but whether it was from this
21 cancer I don't know.

22 Now, you know, in the table that I tried
23 to prepare, what I did was to censor everybody at
24 their last biopsy. People that recurred I said they
25 failed at some time point afterwards, but I think it's

1 an unrecoverable lack of data. We can never know the
2 difference between a clinical recurrence and when you
3 would have known it if you had adequate follow-up, and
4 these diseases we don't really know the natural
5 history of, you know, both CIS and microinvasive, so,
6 you know.

7 DR. SCHILSKY: Well, it's still quite
8 remarkable to me that this group of patients, with
9 really poor pulmonary function in general, many of
10 whom had prior history of other lung cancers, you
11 know, had a median survival overall of three and a
12 half years. I mean, are you impressed by that figure
13 as well?

14 DR. WILLIAMS: Well, I don't think we have
15 adequate historical controls. Almost all the
16 historical controlled series have some more advanced
17 tumors in them, and this is mostly microinvasive
18 disease. So, I think we'd have a difficult time
19 looking at survival data in a comparative sense with
20 historical.

21 CHAIRMAN DUTCHER: Doctor Justice.

22 DR. JUSTICE: I just have a comment on the
23 question about the psychiatric AEs. I think it's not
24 unreasonable to expect there would be a higher
25 incidence with Photofrin, because you are -- the

1 patient would be worried about photosensitivity and b e
2 sort of stuck in the house for 30 days, so it wouldn' t
3 surprise me.

4 CHAIRMAN DUTCHER: Doctor Temple.

5 DR. TEMPLE: Grant, you described th e
6 analysis you did as censoring patients at the time of
7 the last biopsy. I hesitate t o do this with a lot of
8 knowledgeable statisticians around, but I thin k
9 actually what you did is not censor them, but yo u
10 attributed them as not having complete response an d
11 maintained the same denominato r that they started out
12 with, which is not what I unde rstand censoring to be.
13 You did what you could call --

14 DR. WILLIAMS: Well, no --

15 DR. TEMPLE: -- worst case analysis.

16 DR. WILLIAMS: -- what you are talkin g
17 about is for this. Now, I nev er really did a time to
18 event curve, but I did prepare data so that could be
19 done. So, I would have censored time -- those ar e
20 times when I would have censored it in a time to even t
21 analysis, and I think -- you did the analysis ,
22 basically, why don't you go ahead and present that .
23 I don't know if you wanted to see it or not.

24 DR. TEMPLE: But, my understanding is tha t
25 you did what would be called a somewhat mor e

1 conservative analysis, you just said if there's n o
2 biopsy you can't count them anymore, and you didn' t
3 censor them.

4 DR. WILLIAMS: Yes, for response.

5 DR. TEMPLE: For response.

6 DR. WILLIAMS: For response rate, yes ,
7 three month response, one year response, yes, that's
8 what I did. For time to timber progression, instead
9 of censoring the people that failed, I added 90 days
10 and said, well, that's when you had your biopsy an d
11 we've known it. That's also very conservative.

12 DR. SWAIN: Grant, for the indicatio n
13 patients for the superficial g roup there were 24, and
14 I think about ten of those had TIS alone. Was th e
15 only indication for any intervention at all just the
16 diagnosis of TIS, I mean, since that's, I guess ,
17 somewhat controversial now, and some people ma y
18 actually just follow these pat ients and not intervene
19 at all.

20 DR. WILLIAMS: That's what thei r
21 indication for treatment was. I don't know what the
22 indication for treatment is now.

23 I think QLT would like to present a
24 natural history of superficial disease. I think i t
25 would be helpful, it relates to this NCI graph.

1 DR. AZAB: In terms of just for th e
2 psychiatric events, because I know Doctor Schilsk y
3 asked before. Perhaps, I made the comment in th e
4 presentation, they are all tra nsient mild to moderate
5 anxiety or insomnia, usually reported on one da y
6 before or after the procedure and then disappear. So ,
7 that's it.

8 DR. EDELL: I think the -- well, this jus t
9 shows some information that was taken from the major
10 screening studies that took stage one cancers that had
11 some TIS, but these were stage one cancers, and shows
12 the difference between those that had surgery an d
13 those that didn't, but those w ere a lot of peripheral
14 nodules as well, so, in those patients that have stag e
15 one cancer.

16 But, the issue that you raise is one o f
17 carcinoma in situ, and in our institution I think now
18 we consider that cancer, and there are molecula r
19 biological studies to show, at least from what I'v e
20 heard reported in Dublin at the Internationa l
21 Association of Lung Cancer meeting, to show that ther e
22 are irreversible genetic changes that are occurring i n
23 in situ lesions.

24 And, I think that if nothing else, thi s
25 kind of therapy offers an opportunity to start t o

1 catch these at a very early stage, and I think th e
2 carcinogenesis for squamous cell carcinoma is becomin g
3 much better defined, similar t o what we've seen maybe
4 in colon polyps and colon cancers, and that thi s
5 lesion is a very important lesion, at least in ou r
6 feeling, for eradicating a pro cess that's going on to
7 go on to an invasive cancer.

8 Harvey, do you have a comment?

9 DR. PASS: Yes. I think this is a ver y
10 timely question, and I think it's a very timely issue ,
11 because the data that was just talked about at th e
12 ISLC had a tremendous amount of input on this ver y
13 question.

14 And, most recently, there are changes in
15 oncogenies being fit, as well as telomerase activity
16 in carcinoma in situ that star t at carcinoma in situ,
17 as well as microsatellite instability.

18 I think the important thing to remembe r
19 here, whether this is to be treated or not, is tha t
20 there is a parallel with cervi cal cancer, number one,
21 and, number two, that carcinoma in situ in the lun g
22 cancer situation is no longer looked at as just some
23 sort of benign lesion, and, indeed, screening program s
24 are advocating treating carcinoma in situ.

25 Also, for this population of patients, fo r

1 this indication, remember that the treatment of this
2 carcinoma in situ is in patients who have no other
3 options, meaning that they could not get surgery or
4 radiotherapy.

5 CHAIRMAN DUTCHER: Doctor Raghavan.

6 DR. RAGHAVAN: I'm still, I guess having
7 move to the West I've slowed down, I'm still having
8 trouble understanding this latter group of patients
9 that Grant -- that Doctor Williams has struggled with.
10 Can you explain, can somebody explain to me, the
11 criteria for entry into this group, did you have
12 central pathological review? How did you
13 differentiate dysplasia versus carcinoma in situ? I
14 mean, what are actually treating here, because this is
15 what I'm wrestling with. I've had less trouble with
16 the first half of the presentation, but I actually
17 don't know what you've treated. I can see the
18 classifications of TIS T1 and T2, from studies done
19 overseas. The indications to me are very confusing,
20 the indications for not operating are not clear, but
21 my fundamental problem is a natural history question.
22 I understand the data presented from Mayo and the
23 summary of the results of what happens to prove
24 carcinoma in situ from screening studies that are well
25 controlled, I'm having difficulty saying that this is

1 a well-controlled set of patients.

2 So, I don't know what you've treated, so
3 could somebody enlighten me, what got you into th e
4 category of carcinoma in situ? What were the changes ?
5 Who called them? How reproducible were they? Wa s
6 there anybody who was labeled with carcinoma in situ,
7 or were these reviewed by an expert tumor pathologist ?

8 DR. WILLIAMS: Well, I'll start off an d
9 then definitely hand off.

10 Certainly, there were data presented on,
11 say, tumor area, this many millimeters by that man y
12 millimeters, and most of the protocols -- the intent
13 of the prospective protocols and the retrospectiv e
14 selection was that they be rad iological occult, which
15 does tie it to a group in the literature. But, i t
16 also had CIS, which most of the literature is, say ,
17 T1s are radiologically occults , so this is a mixture,
18 basically, that you had cancer and radiologicall y
19 occult, I think.

20 But, certainly regarding the qualit y
21 control of the biopsies, et cetera, I don't believ e
22 that was done, and I'll let QLT -- it's not.

23 DR. TEMPLE: So, that means it was th e
24 local diagnosis.

25 DR. WILLIAMS: Right.

1 DR. TEMPLE: You just took the ir word for
2 it.

3 DR. WILLIAMS: Local diagnosis, and what
4 I reviewed were words, I didn't have biopsy reports,
5 words that said carcinoma in situ.

6 DR. TEMPLE: But, presumably, if that wer e
7 important, one could at least haul back those pat h
8 reports and look at them.

9 DR. WILLIAMS: I think that part of th e
10 audit will be our auditors' ability to verif y
11 diagnoses.

12 DR. TEMPLE: I actually don't think that
13 getting back the path reports is helpful, I think it' s
14 getting back the slides, because we've had this a t
15 this committee before, as soon as you intrude into th e
16 area of carcinoma in situ, someone cited the analogy
17 of cervical cancer, but that's now totally different.
18 I mean, there's such rigorous quality control, eve n
19 out in the community, whereas, the handle of dysplasi a
20 versus TIS in pulmonary pathology is an evolvin g
21 field, and that's the problem with historica l
22 controls. What used to be dysplasia might now still
23 be dysplasia, or it might be TIS, or it might b e
24 nothing.

25 And so, when we talk in terms of th e

1 impact of this treatment on that entity it become s
2 hard for me to attribute an impact when I don't know
3 what the entity was to begin with.

4 DR. RAGHAVAN: I didn't actually mention
5 this in my review, but one of my concerns -- I mean,
6 in my presentation, but one of my concerns with this
7 is the idea of reproducibility of diagnosis, you know,
8 you have a patient at baseline that had a small CIS,
9 did they or didn't they get a biopsy or cytology, and
10 they didn't do it frequently, what is the one tim e
11 chance that you are going to miss it, those sort o f
12 things.

13 So, I certainly feel like there wasn't a
14 lot of the rigorous type of follow-up that I woul d
15 want to see to document that follow-up was at CR, a
16 one-time biopsy in many patients.

17 DR. TEMPLE: If that were a critica l
18 point, wouldn't it be possible to get the slides and
19 have them looked at by an expert group?

20 DR. WILLIAMS: They could try, they said.

21 CHAIRMAN DUTCHER: Doctor Johnson?

22 DR. JOHNSON: Well, I wanted to sort o f
23 continue to beat this horse a little bit about th e
24 TIS. You've subset a subset into an even smalle r
25 subset when you take a group of patients that hav e

1 carcinoma in situ.

2 The fact of the matter is, many good
3 thoracic oncologists don't treat carcinoma in situ ,
4 even when patients had the ability to be resected, and
5 we've already heard from your consultant, the
6 radiation oncologist, that the dose for treating
7 carcinoma in situ is unknown. So, we are
8 extrapolating treatment to a group of patients who
9 don't necessarily get treated with two modalities ,
10 that they are not eligible for anyway.

11 In some instances, patients may be
12 followed, that may be the total sum of their
13 management. They get nothing done except for periodic
14 bronchoscopy.

15 So, again, I think Derek's point is a very
16 good one, Doctor Raghavan's point is a very good one,
17 we are trying to struggle with these data to try to
18 come up with, what have we done for the patient of
19 great benefit.

20 Now, if you tell me that you managed to
21 make a small area TIS go away, all the molecular
22 genetics aside, the reality is that the entire aero-
23 bronchial system is at risk for recurrence, and I'm
24 not really sure that we -- I mean, we've shown even
25 when you cut out those areas, you've not necessarily

1 altered the natural history of that patient. You may
2 not have changed that patient's life one iota. So ,
3 I'm not sure that -- I mean, even surgery may no t
4 benefit this group of patients , is what I'm trying to
5 say, if they were able to be operated upon. So, you
6 taken another group that we can operate upon, we'v e
7 applied another modality that we know even less about .

8 So, I think it's a very tough group o f
9 people for us to analyze.

10 CHAIRMAN DUTCHER: Doctor Temple.

11 DR. TEMPLE: I guess I want to ask Doctor
12 Johnson, the remedy for that, I presume, is to tak e
13 people with this diagnosis and no other lesions an d
14 randomize them to watchful waiting versus som e
15 modality or other, is that what you are saying?

16 DR. JOHNSON: Yes, I think that it's a
17 good attempt to get some sense of the value of thi s
18 approach, but now I think you have convinced me, a t
19 least, that you have a modality that makes TI S
20 disappear in some instances, a nd now you can test it.

21 DR. TEMPLE: And, there are at least some
22 institutions that would be comfortable doing that.

23 DR. JOHNSON: Sure, I think the May o
24 Clinic would probably be comfortable testing that ,
25 maybe not. Wayne State probably would. And, I

1 suspect an institution like my own would be intereste d
2 in doing that.

3 CHAIRMAN DUTCHER: Any other questions fo r
4 Doctor Williams, comments?

5 Thank you very much.

6 So, we should go -- any other comment s
7 from any members of the committee before we talk abou t
8 the questions?

9 Doctor Ozols.

10 DR. OZOLS: I guess if you raise tha t
11 issue that randomized trials s hould or could be done,
12 in which patients with carcinoma in situ ar e
13 randomized to treatment or to no treatment, I'm no t
14 sure what we'd be really addressing in evaluating a
15 possible "treatment." If you are telling us tha t
16 there is no treatment or no es tablished need to treat
17 these patients, or they are cl early not proven yet, I
18 guess I'm perplexed at why we would prove this as an
19 indication.

20 CHAIRMAN DUTCHER: I think that was th e
21 question. Well, let's go on to the questions that FD A
22 has asked us to address and discuss, and the first one
23 is, in obstructing lung cancer, in the obstructio n
24 indications, two prospective r andomized trials, P-503
25 with 141 patients, and P-17 with 70 patients, compare d

1 photodynamic therapy with Photofrin to YAG laser
2 therapy in patients with obstructing non-small cell
3 lung cancer. The applicant's analysis of month on
4 response rate, the rate of increasing the diameter of
5 the obstructive lumen by at least 50 percent from
6 baseline on days 18 to 45 for Photofrin was 42 percent
7 in trial P-503 and 61 percent in trial P-17.

8 In each trial, the numerical response rate
9 was higher on the PDTR than on the YAG arm. This
10 analysis and the FDA analysis response, which included
11 all data on or after day 18 are summarized in the
12 table that you can see in this next page. Maybe I
13 don't need to read all of this.

14 We'll go on to the next page. Okay. Then
15 there's a discussion of the above table, describing
16 symptoms, okay. Applicant found that in 36 of the 102
17 patients randomized to PDT, and also in 23 of 109
18 patients randomized to YAG, such clinical benefit
19 could be demonstrated.

20 Do these two trials serve as a adequate and
21 well-controlled trials demonstrating the efficacy of
22 Photofrin for treatment of patients with partially or
23 completely endobronchial non-small cell lung cancer?

24 Who would like to -- Kim, Doctor Margolin ?

25 DR. MARGOLIN: I wouldn't like to, I would

1 just like to point out, I don' t know how important it
2 is, but I really think, as part of our origina l
3 discussion at the top of this page that it's a third
4 to a fifth of the patients' doctors reported them to
5 have an improvement in dyspnea cough and/o r
6 hemoptysis.

7 CHAIRMAN DUTCHER: You mean, you want to
8 modify the statement?

9 DR. MARGOLIN: Well, I don't k now that we
10 need to modify the statement as it is written, bu t
11 just point out that that was part of our origina l
12 discussion, and that may be part of this subjectiv e
13 analysis of the quality of life issues here.

14 CHAIRMAN DUTCHER: Okay, clarification.

15 Does anyone want to initiate a discussion
16 of an answer to this question? Doctor Schilsky?

17 DR. SCHILSKY: Well, I'll start off. I
18 don't know that I'm prepared to answer the question,
19 but I'll tell you why I'm having so much difficulty.

20 It seems fairly clear that there were man y
21 problems with the way both of the randomized trial s
22 were conducted. In fact, one of them wasn't eve n
23 completed. And, in the one that was completed there
24 are many, many problems with the data, there ar e
25 problems with the initial definitions of endpoints ,

1 there are problems with missing data, to the point
2 that, at least the FDA concluded that, the statistical
3 analysis was unreliable, which I tend to agree with.

4 So, in my mind, if the statistical
5 analysis is unreliable, then in a sense there's no
6 point in trying to compare the two arms of these
7 studies, and I think that what we would be left with
8 then would be to say, okay, well, let's just look at
9 these as single-arm studies. What if these were just
10 a bunch of single-arm, phase two studies that were
11 presented to us, and so we have, say, two studies of
12 Photofrin PDT, and we put that in the universe of
13 knowledge with respect to experience with YAG therapy,
14 including that, you know, which was presented today.

15 So, if you view it that way I guess, then
16 I come down to, well, if you consider these to be
17 single-arm studies, then do they present sufficient
18 evidence of clinical benefit for the patients that
19 would justify recommending approval.

20 And so, first I would have to say that in
21 thinking through it in that way, I then immediately
22 would discount the response data, because the response
23 data, by itself, doesn't convey any information to me
24 about whether the patients benefitted or not. So
25 then, we are left with the symptom data.

1 There are questions about the reliability
2 of the symptom data, with respect to how the
3 information was obtained, whether it was reproducible
4 or not, whether it's even complete or not.

5 And, at best it would seem that 30 to 50
6 percent of the patients have some symptomatic
7 improvement for some period of time. So, I guess I
8 would just like to initiate the discussion maybe by
9 seeing if others on the committee would accept this
10 construct of how to look at the data, because if not
11 then we can talk about other things.

12 But, I think one of the things we are
13 going to have to decide is, if this way of thinking
14 about it is reasonable, you know, is the data
15 sufficient to allow us to make a determination as to
16 whether the patients actually obtain clinical benefit
17 from the therapy.

18 Maybe I'll stop at that point.

19 CHAIRMAN DUTCHER: Doctor Raghavan.

20 DR. RAGHAVAN: I think that Doctor
21 Schilsky has summarized very eloquently the difficulty
22 that we are all wrestling with. Really, what it comes
23 down to is the tension between the, as he termed it,
24 the universe of knowledge and some really pretty poor
25 clinical trial data that have been presented.

1 And, the difficulty is to set the balance
2 between process and logic. As a clinician who has
3 collaborated with people who have used photodynamic
4 therapy in this clinical context, I have the personal
5 experience that hasn't been cited here of having seen
6 patients who were clearly not accessible to YAG laser
7 therapy for technical physical constraint reasons, who
8 have had maximum dose radiotherapy, who have had
9 chemotherapy, who come within the purview of this set
10 of randomized trials, and I've seen clinical benefit
11 in this situation.

12 So, on the one hand, logic would tell me
13 that this is a technology that has a place and where
14 some patients will benefit, and I personally haven't
15 seen a lot of toxicity, although my experience has
16 been indirect and limited.

17 On the other hand, process is important in
18 the sense that it would be a very poor precedent to
19 set that would allow the FDA to approve material
20 presented of poor quality data, and some of the data,
21 as presented, is of poor quality. There are a lot of
22 unanswered questions. There is lot of imprecision for
23 many of the cytotoxic moieties that we look at. On
24 the strength of this information with an absolutely
25 brand new technique, we would be obligated to turn it

1 down.

2 I think as this is currently still in the
3 discussion phase, I think that my advice to the FDA is
4 that for this first indication the balance of
5 probabilities would favor approving it, but with a
6 very clear message that this shouldn't be seen as a
7 precedent in terms of the quality of the data that are
8 being submitted today.

9 CHAIRMAN DUTCHER: Doctor Johnson?

10 DR. JOHNSON: I was, I think, agreeing
11 with Doctor Raghavan all the way up to the end, so
12 I'll preface my comments by saying, I think
13 intuitively those of us who deal with lung cancer
14 patients believe this approach should work, and,
15 therefore, are looking for justification for approving
16 it for that purpose.

17 To directly address the question asked, I
18 had these thoughts, both phase three studies were
19 designed to demonstrate, not a comparability between
20 the two, but actually a superiority of the PD T
21 approach, which I think is an admirable thing to do
22 and, frankly, a lot more practical thing to do, since
23 comparability studies are often difficult and require
24 huge numbers of patients.

25 In that sense, both studies failed. They

1 were negative studies. So, the answer to the
2 question, in the strictest sense is, do these studies
3 serve as adequate and well-controlled trials
4 demonstrating the efficacy of Photofrin, and the
5 answer is no.

6 But, I like Doctor Schilsky and Doctor
7 Raghavan, I'm a clinician and feel intuitively this
8 ought to work, and so we are left with something of a
9 dilemma, and that is, you know, what should we do to,
10 perhaps, approve this product for this indication.

11 And so, I'd, like Doctor Schilsky, turn to
12 the concept of clinical benefit, and that, to me,
13 means if the patient perceives that he or she has been
14 benefitted by the therapy, and in this case that means
15 symptom control, then is the basis of my several
16 questions related to symptom assessment.

17 And, I concur with Doctor Simon that the
18 method by which symptoms were assessed in this study
19 are subject to huge bias in my view, and, therefore,
20 I think these data are, at best, problematic, and I
21 can't bring myself to suggest that I am persuaded by
22 the data that have been presented that the patient has
23 clearly benefitted, you know, from a symptomatic point
24 of view.

25 But, with regard to this first question,

1 I think my view is the answer is no.

2 CHAIRMAN DUTCHER: Others? Docto r
3 Margolin?

4 DR. MARGOLIN: I have a commen t, but it's
5 not directly responsive to what Doctor Johnson wa s
6 saying , it's just in general. I think I recal l
7 correctly from the discussion we had several years ago
8 about this approach for obstructing esophageal lesion s
9 that one potential option for approval would b e
10 consideration of this as an alternative to YAG i n
11 selected patients.

12 The problem is that we don't h ave patient
13 characteristics from this data base that would suggest
14 those who might be most approp riate for YAG and those
15 who might be more appropriate for the photodynami c
16 therapy. So, it's more of a generic suggestion, but
17 I think, perhaps, it should be out for discussion.

18 DR. SWAIN: Just one comment, going along
19 with what Doctor Johnson said. I guess I'm mor e
20 persuaded by the lack of clinical benefit that I'v e
21 really seen here with more dyspnea and mor e
22 bronchitis, psychiatric sympto ms, more bronchoscopies
23 in the patients, and really having a hard tim e
24 believing or looking at the data in my own mind ,
25 showing that the benefit is greater than all thes e

1 risks.

2 CHAIRMAN DUTCHER: Let me ask a question
3 of Doctor Johnson and Doctor Schilsky, and maybe
4 Doctor Raghavan. If a patient with an obstructing
5 lesion appears, and an intraluminal procedure is going
6 to be done, is there a need for another option than
7 laser, YAG laser?

8 DR. RAGHAVAN: Well, since the other two
9 haven't said anything, I think the answer is yes.
10 That's the basis of my comment.

11 I don't think it's a big group, but I
12 think, as I mentioned, that there is a subset of
13 patients that pulmonologists and thoracic surgeons
14 will see where technically it is not feasible to get
15 the structure of the YAG laser in place to remount the
16 obstructing lesion, and you can actually thread down
17 a core into a physically obstructed lesion where you
18 just sometimes can't get the YAG laser. A good
19 example will be at a take-off point for a smaller
20 airway, where you have the technical concern that
21 where the endobronchial passage takes a right-hand
22 turn, your instrument will continue to go through the
23 wall of the vessel creating a bronchopleural or some
24 other type of fistula.

25 So, I think the answer to your question

1 is, there are indications, but all of this data that
2 we've heard today doesn't address that question. So,
3 that's where I came back to my point of saying that's
4 it's process versus logic. I think there is a subset
5 of patients who will definitely, in my mind, benefit
6 from having the availability of this technology, but
7 it's not a very big number.

8 CHAIRMAN DUTCHER: How would you prove
9 that? If these data don't prove that, and we decide
10 that there's not sufficient data for this indication,
11 how can one prove that you have a new technology that,
12 in fact, can be beneficial for subsets of patients?

13 DR. RAGHAVAN: I think it's a very
14 difficult study to design, and it would take time
15 because it's not a very large number of patients.
16 And, my guess is that if we made the technology
17 available for such a small subset, it's the sort of
18 thing where I suspect a company would take a look at
19 it and say, the profit margin for such a small group
20 of patients doesn't require us to invest the money to
21 answer the question.

22 The only way I could think of doing it
23 would be to set up a design where you identified a
24 series of experts who would prospectively identify
25 patients with obstructing lesions who were not

1 eligible for radiation, who were not eligible fo r
2 surgery or chemotherapy, and for whom the YAG lase r
3 did not provide adequate techn ology. And, as I said,
4 this would be a small group, you couldn't do it in a
5 comparative arm, and then, ultimately, it would come
6 back to a committee like this, which would find post-
7 hoc flaws with the study design.

8 So, my guess is that we've got to bite th e
9 bullet today. I don't think -- I mean, I think th e
10 problem is when these studies were designed initially
11 they were flawed in their design, there were truck s
12 that you could drive through t he holes in the way the
13 data was constructed, and so I guess it creates a rea l
14 difficulty. I don't just feel intuitively that this
15 is a potentially useful techno logy, because I've seen
16 cases where thoracic surgeons and pulmonologists have
17 managed patients that I've ref erred to them with this
18 technology, explaining to me, and with no connection
19 with this committee, that the YAG laser wouldn't d o
20 the job.

21 Again, I come back to the point, this is
22 not a very big group, and then the question is, do yo u
23 give an approval then understanding that, depending o n
24 the nature of the indication, it could be an abuse d
25 approval.

1 We come back to the fact that thi s
2 technology is used for esophageal lesions, as I
3 understand it, it's hard to artificially describe a
4 difference between the entities, but I think Docto r
5 Johnson's point is admirable, and I think he's right.
6 I mean, if you do it just on the data that are sittin g
7 in these books, it's very hard to go with it, and I'm
8 deviating from my normal practice of just going on the
9 data, and maybe that's an incorrect thing to do, but
10 I am struggling with it.

11 Doctor Ozols looks like he wants to bu y
12 in.

13 CHAIRMAN DUTCHER: Doctor Ozols.

14 DR. OZOLS: But, the point, I guess, i s
15 that we should approve things on a basis of scientifi c
16 well-controlled trials, and I think we don't hav e
17 that.

18 On the other hand, you suggest there is a
19 benefit, and I tend to agree with you, but I don' t
20 think we are harming patients by not approving it at
21 this point, because, in fact, a drug is available, an d
22 I think people in the community are doing it. So ,
23 maybe we can't define the spec ific characteristics of
24 the patients who are getting this, but I think they'll l
25 continue to get it, those that you described, Derek,

1 that, you know, may benefit from this, they'll
2 probably continue to get it whether or not we approve
3 this or not at this point.

4 But, I think to say that we can't define
5 a group of patients and get a trial that shows it, I
6 think is not the right message, I think if this stuff
7 works we should encourage the sponsor to do the trial
8 to show, in a very discreet population, that there is
9 some benefit.

10 CHAIRMAN DUTCHER: Doctor Temple.

11 DR. TEMPLE: I want to be sure I
12 understand what everybody thinks is wrong. These were
13 randomized trials, that doesn't happen all the time on
14 the things that come before this committee. They did
15 not blind the observation of endpoints that are
16 subjective. They didn't even have a blinded observer
17 do them. We always advise people to do that, but our
18 advice is rarely taken, and that would be an
19 improvement.

20 It seems to me there's at least some
21 internal evidence, however, that people were paying
22 attention and were not necessarily biased. There's a
23 difference between the one week and the one month
24 observation. If you think people are biased in
25 reading things according to how the therapy they gave

1 worked out, it would be hard to explain why at on e
2 week symptoms are all sort of even, and at one month
3 they mostly favor, at least moderately strongly, the
4 Photofrin therapy, so I just throw that out to think
5 about.

6 I guess I have to note that what Docto r
7 Raghavan described as obvious clinical benefit is jus t
8 the same thing that these people reported, and I gues s
9 one believes it when one observes it, and is skeptica l
10 when one doesn't. I mean, we share the same thing ,
11 these are, you know, dyspnea and all these matters ar e
12 highly subjective, they are ob viously amenable to all
13 kinds of influence, but there is that one point withi n
14 the study that suggests that they may have bee n
15 reporting something more than completely randomly.

16 I guess the other thing I'd be interested
17 in comments on is, when you sh oot for superiority and
18 don't quite get it, but you are quite sure that yo u
19 could measure response rates using the historica l
20 control methodology or whatever, how much does tha t
21 matter? This doesn't have to be superior to YA G
22 laser, you just have to believe it has a response, an d
23 then the question is, have you shown that that doe s
24 any good?

25 Well, the way you show that it does an y

1 good is all the symptomatic improvement, and we need
2 to be clear, if what the committee is telling us is
3 that if you don't do symptom assessments in a blinded
4 way, just forget it, we are not going to be persuaded,
5 that's a very important message to convey and it
6 should be very clear that that's what you want to say,
7 because we sort of encourage that, but we don't always
8 prevail.

9 CHAIRMAN DUTCHER: Doctor Simon.

10 DR. SIMON: Well, no, I don't think that,
11 because I think there are other flaws than the one of
12 non-blinded assessment. For example, I think the huge
13 amount of missing data to me is probably more of
14 concern, or as much of concern, as the non-blinded
15 assessment.

16 But, I guess what I was going to say was
17 that, you know, the other way of looking at it is that
18 -- I mean, I think it is clear to me that these trials
19 have not demonstrated superiority of the photodynamic
20 therapy compared to the laser, and so in a sense I
21 would take the way of looking at it that Doctor
22 Schilsky originally outlined as the way one would have
23 to look at it, do these trials demonstrate
24 effectiveness of photodynamic therapy with this drug,
25 but not necessarily superiority compared to the other

1 drug.

2 So, in this sense, I mean, the phrasing o f
3 the question really makes it difficult to answer the
4 question, because it imposes this thing about adequat e
5 and well-controlled trials.

6 DR. TEMPLE: That's in the law, you have
7 to be able to --

8 DR. SIMON: Okay.

9 DR. TEMPLE: -- the requirement is tha t
10 you swallow hard and say yes if you want to say yes,
11 and no if you don't.

12 DR. SIMON: I think the other way o f
13 looking at here is, is photody namic therapy with this
14 drug effective, does it produce benefit to thes e
15 patients?

16 We have lots of opportunities for bias in
17 these results, but the other way of looking at it is
18 that we have objective response data which does not,
19 in itself, mean anything about clinical benefit, but
20 we may take as relatively reliable indicating tha t
21 photodynamic therapy is at least, and probably mor e
22 effective -- at least as effective as the YAG laser i n
23 a one week to one month time frame, and that ,
24 therefore, it might be reasonable, based on thes e
25 results, even with the biases, to believe that th e

1 photodynamic therapy with this drug, that tha t
2 effectiveness with regard to opening up the airway s
3 would translate into whatever degree of clinica l
4 effect iveness we are seeing with the YAG laser, no t
5 necessarily a greater degree of clinica l
6 effectiveness, but some clinical effectiveness.

7 So, I think, although if we are going to
8 be concerned about precedence, and do we have well -
9 controlled trials, and does it matter whether th e
10 protocol was designed based on superiority, then I
11 think it's an easy call, the answer would be t o
12 recommend not approving.

13 But, I think it's a much harder call, in
14 terms of just evaluating whether this body of dat a
15 demonstrates some clinical benefit, because I thin k
16 it's possible to interpret the data in that sense.

17 DR. WILLIAMS: I just want to -- I ha d
18 similar problems, and some of the reasons why I went
19 to doing all kinds of different analyses of th e
20 response data was to try to ge t a handle on, is there
21 any kind of objective response that I'd say is likely
22 to be associated with clinical benefit. And, on 4 4
23 and 45, page 44 and 45 of my review, I went int o
24 looking at three millimeter changes, or fiv e
25 millimeter changes, and whether or not you include the

1 CR interpretation of the investigator or not. So, I
2 don't know if there's a certain change that you think
3 is likely to be associated with benefit, but if there
4 is, I think I've sort of listed various numbers there .

5 And, for instance, including the
6 investigator's judgment of CR as being a response ,
7 there were 29 Photofrin patients that had a five
8 millimeter change, where if you exclude that judgment
9 there are 22 patients with a five millimeter change.

10 So, I don't know if those sort of things are of any
11 help, but I also tried to struggle and say, is there
12 a degree of change which I think might be associated
13 with benefit.

14 In any of the analyses I did, I did find
15 about a third at least.

16 Now, the question is, did you get those
17 pages? Okay.

18 DR. JOHNSON: Okay.

19 Well, actually, in the sponsor's
20 information provided to us there was a comment made on
21 page 40 that almost all the patients were symptomatic
22 at baseline, and some achieved a tumor response
23 without improvement in symptoms. They went on to say
24 further in the paragraph that, nevertheless, achieving
25 an objective tumor response, even in the absence of

1 demonstrated symptom improvement, is important fo r
2 these late-stage patients to prevent complication s
3 from obstructing lesions, such as atelectasis an d
4 post-obstructive pneumonia.

5 I fully expected to hear in thei r
6 presentation data following up on these patients, to
7 tell us how many, in fact, had avoided obstruction an d
8 atelectasis, as opposed to those who had not gotten a n
9 objective response, as an example. I mean, thos e
10 would be fairly easy data, it seems to me, to obtain,
11 and if those data were available that might be added
12 impetus to consider the approv al process, it seems to
13 me.

14 I didn't see those data presented ,
15 however, and I would also go back to just make th e
16 comment again that I think tha t it is important if we
17 can convince ourselves that patients ar e
18 symptomatically benefitted by this approach. I'm not
19 asking the company to show superiority personally, I
20 think comparability is fine. That wasn't really the
21 issue that I was raising. The issue was, did it, in
22 fact, work and, more importantly, did patients benefi t
23 from that effect.

24 DR. TEMPLE: I guess I think w hen you are
25 talking about subjective effects, equivalence, whe n

1 you are not sure what would happen in the absence of
2 any treatment at all, is a little dubious, and one of
3 the things in here, perhaps, the only thing that helps
4 you believe that in the absence of a blinded
5 situation, and, really, the absence of a no treatment
6 control, is the fact that there is this difference,
7 you know, how persuasive it is I'm not sure, between
8 what you see at one week and what you see at one
9 month.

10 Now, of course, by then people may well
11 have known what had happened with the response, so
12 maybe that influenced it, but it might be too soon for
13 them to know that. It's one piece of evidence that,
14 perhaps, people were actually observing what was
15 happening, and that there was some reality to it.

16 I'm not trying to make more of it than it
17 is, but, you know, we are pretty skeptical of
18 symptomatic improvement in the absence of a control
19 agent, you know, in the absence of showing a
20 difference. These are not terribly well established
21 measurements, and we'd always be suspicious.

22 That's the one suggestion of a difference,
23 moderately strong, moderately persistent, across
24 several different sets of symptoms, that's the one
25 thing that looks sort of interesting in there to me.

1 DR. DeLAP: I'd just make one or two
2 suggestions here.

3 If it's the belief that the data are so
4 problematic that no rational judgments can be made, I
5 think that's certainly one issue, and we can't help,
6 you know, there's no resolution for that that's going
7 to satisfy anybody.

8 There is, I think when you are talking
9 about the rule of adequate and well-controlled, again,
10 that's how we measure whether a study addresses a
11 question or not in a fashion that we can rely on for
12 regulatory determination. A study can certainly be
13 adequate and well-controlled for some endpoints and
14 not for others, or it can be adequate and well-
15 controlled to establish, to the satisfaction of the
16 committee, some things and not others. So, if you
17 feel that it's not adequate and well-controlled in
18 terms of showing superiority that doesn't mean that it
19 couldn't be adequate and well-controlled to show
20 activity. I've heard that in some of the comments
21 that we've heard.

22 The only other comment I would add is
23 that, if there is a finding that the response rates
24 are something that's real and meaningful, and the
25 question comes up about the clinical benefit

1 endpoints, then there can certainly be some discussion
2 over, again, the reliability of the response rates and
3 whether you believe that, and then you would predict
4 that that means clinical benefit but you haven't seen
5 it yet. And, we know what that kind of thing can mean
6 in a regulatory sense as well.

7 CHAIRMAN DUTCHER: Doctor Raghavan.

8 DR. RAGHAVAN: Not wanting to enter
9 further into debate with my colleague from Tennessee
10 from the other side, I think we can -- I mean, I think
11 we all agree, we appear to agree, that the quality of
12 the data is flawed.

13 On the other hand, I think what these
14 studies show is that investigators have demonstrated
15 an ability to measure objectively tumor size
16 reduction, and to try to quantify it.

17 And, irrespective of whether the
18 photodynamic therapy is better than, or roughly
19 equivalent to, or inferior to, have come up with
20 numbers that at the least tell us it's equivalent to
21 a standard of therapy, and it, therefore, gives an
22 alternative physical modality.

23 One of the difficulties that we are stuck
24 with is that at this point in the management cascade
25 the alternatives are relatively limited, so this is

1 technically applicable to patients that may not be
2 suitable for laser therapy. And, unless one took the
3 view, and see no reason to do this, that these
4 investigators were so biased as to enter false data,
5 and I have no reason to expect that, then I think we
6 can accept from these trials that the prospective
7 control allows us to demonstrate measurements with a
8 standard technology and measurements with an
9 innovative technology, and if we use the five
10 millimeter cutoff that Doctor Williams provided that
11 new technology may actually even be superior. It
12 works.

13 And, these studies do show that it has
14 activity in this indication.

15 DR. SWAIN: I guess just to respond to
16 what Doctor Temple said about the one month response
17 data, looking at that, I really still have a big
18 problem with this because of the missing data, 50
19 percent on one arm and about 30 some percent on the
20 other, and I really don't see how we can make -- give
21 an answer to that.

22 Plus, Derek just said he felt that the
23 were equivalent, and, again, I think that's a big
24 problem when you look at all the missing data.

25 DR. WILLIAMS: Are you talking about the

1 response data then?

2 DR. SWAIN: Right.

3 DR. WILLIAMS: Yes. Certainly , you say a
4 response is at least this, saying that it might no t
5 have been higher on the other arm you couldn't say .
6 And, the problems that I had with the cutoff at on e
7 month, I did an analyses that didn't cut off at on e
8 month, so you can look at a comparative analysi s
9 there, but you can certainly say that the response wa s
10 at least this, as we do in uncontrolled studies.

11 DR. SCHILSKY: I think it's pr etty clear,
12 though, what we are all grappling with, I guess, i s
13 what level of confidence to have in the data. And ,
14 because in my mind this is a very elegant technique,
15 it ought to work, I think it does work.

16 I'm not sure how well it works, and I' m
17 not sure which patients are the right ones to use it
18 with, and I think that's where we are all having a lo t
19 of difficulty.

20 It makes sense that if someone has a n
21 obstructive bronchus, and you open up tha t
22 obstruction, that that patient ought to b e
23 symptomatically improved. Now, you could also argue
24 that these are patients with l ung cancer and probably
25 have other chronic pulmonary disease, and that maybe

1 they won't be better, or maybe they won't be as much
2 improved as you might have expected just by virtue of
3 opening an obstructed bronchus because they have a lot
4 of other pulmonary problems, but certainly there ought
5 to be some logical relationship between producing
6 regression of the tumor and producing symptomatic
7 improvement.

8 I'm actually, in my own mind, prepared to
9 accept the notion that that is the case. I just don't
10 know if that happens 50 percent of the time, 30
11 percent of the time or 15 percent of the time, and I
12 think that's, for me, where the problem still lies.

13 CHAIRMAN DUTCHER: Doctor Simon.

14 DR. SIMON: Well, I pretty much agree with
15 what you just said, Rich, except that I think - -
16 except the last part, I think even if you look at the
17 symptomatic improvement at one month there's no
18 indication that it's, even if you take the data at
19 face value, anywhere near 50 percent. You know, if
20 you look at Table 8 for dyspnea, for the two studies
21 combined, improved with photodynamic therapy was 30
22 percent, and if you look at Table 10, which was change
23 in cough from baseline, for the two studies combined
24 for photodynamic therapy it was 27 percent.

25 Now, if there's some bias in here it's

1 less than that, or if one month turns out to be the
2 optimal time, you know, so that's probably the level
3 of improvement, if we take the data at face value, is
4 of the order of a quarter of the patients, probably at
5 best, and that's then the tradeoff between that and
6 the side effects of the therapy.

7 DR. TEMPLE: Do you all think that's a low
8 rate of clinical benefit in an oncologic trial or a
9 high rate of benefit in an oncologic trial? No, I'm
10 serious, these are people with a progressive disease.
11 If you believe those numbers, and I think they must be
12 exaggerated probably, because it was unblinded, that's
13 more than we usually see outside of leukemias and
14 stuff like that.

15 DR. SIMON: Well, you did have a 19
16 percent incidence of life-threatening adverse events,
17 so if you take that at face value too, then that's
18 where you are going.

19 DR. TEMPLE: Well, if that's important, ,
20 one has to pin down how many of those were life
21 threatening and how many were severe.

22 DR. SIMON: It didn't say severe, it said
23 life threatening, that was just the pure life
24 threatening. That was, I think, in Table 22.

25 DR. JOHNSON: The answer, while we are

1 looking that up, I think the answer is always that it
2 depends.

3 DR. SIMON: Very severe life threatening.

4 DR. JOHNSON: You know, 25 percent
5 response rates is not real good in germ cell
6 neoplasms, but it's pretty darn good in lung cancer,
7 but that was a symptomatic response, not an objective
8 response that we were talking about, and that's
9 subject to bias, I think, the way those data were
10 acquired.

11 DR. TEMPLE: Oh, I don't disagree with
12 that at all. If you believed it, though, it wouldn't
13 be too shabby.

14 DR. JOHNSON: No, no, it would be okay,
15 but the life threatening events, and, again, we looked
16 at that, or attempted to bring that out, I agree with
17 you, grade IV alopecia is not life threatening, it
18 might affect your life in some way, you know, quality
19 of life, but not your quantity of life, perhaps.

20 But, fatal massive hemoptysis in a
21 respiratory event does, and when I add those things up
22 together, in the randomized data, I think that's a
23 statistically, as well as a clinically, meaningful
24 difference in a treatment that doesn't alter the life
25 of the patient, and you haven't persuaded me that it

1 improves the quality of the life of the patient.

2 Those are the facts as I see them. We are
3 sort of straying off the question that was asked, but
4 I mean that's sort of how I sum up these data for this
5 first group of patients.

6 DR. TEMPLE: As these were going by, I
7 could not tell, maybe I just wasn't looking close
8 enough, how many of those events were, in fact, life
9 threatening and how many were severe forms of non-life
10 threatening. That's obviously crucial, maybe that
11 needs to be pinned down. It's a defective category.
12 You are not supposed to add severe and life
13 threatening, you are supposed to add serious and life
14 threatening.

15 DR. SIMON: This says very severe or life
16 threatening.

17 DR. TEMPLE: Yes, but, see, very severe
18 means it's a severe version of whatever it is, it
19 doesn't mean that it had any life-threatening
20 capability.

21 DR. SIMON: Well, the only thing is, the
22 25 percent we were just talking about, these are not
23 life saving either, so --

24 DR. TEMPLE: No, that's fear, but, I mean,
25 one needs to know what those are, if they really are

1 life threatening that would be very bad.

2 DR. SIMON: I do think that some of th e
3 events that were reported as adverse events i n
4 patients with progressing lung cancer, we don't reall y
5 know what's Photofrin related and what's -- you know,
6 you can look at the comparison s between the two arms,
7 but certainly I think it would n't be fair to say they
8 are all from Photofrin. If you can see a difference
9 in the two arms --

10 DR. JOHNSON: No, I'm not sugg esting that
11 they are, but the two arms allegedly are the same kin d
12 of patient.

13 DR. WILLIAMS: Right.

14 DR. JOHNSON: So, the fact that there's a n
15 excess number on one arm versus the other arm.

16 DR. WILLIAMS: Okay, you are talking abou t
17 the 19 versus eight.

18 DR. JOHNSON: Among other numbers, yes.

19 DR. WILLIAMS: Right.

20 DR. TEMPLE: Grant, this shouldn't be don e
21 without knowledge. Only ten percent of them wer e
22 within 30 days of the procedur es, that might help you
23 make a statement about plausib ility, but someone must
24 know what they are. Why are we asking?

25 DR. JOHNSON: Let's -- you know, again, w e

1 are straying off, but since you brought it up, I mean ,
2 who made 30 days the magic day that this is not a
3 problem related to the product and to the treatment?

4 In fact, I mean I don't personally believe
5 it is, but the fact of the matter is, the response to
6 PDT was slower than the response to YAG. Who is to
7 say that the complications to PDT might not be more
8 prolonged or later developing than the complications
9 to YAG? I don't think that 30 days is a magical day
10 in my mind, and if someone has had EBT therapy, and
11 then gets some other form of therapy that may further
12 affect the integrity of the bronchial mucosa, I could
13 see where one could very plausibly get an increased
14 instance of fatal massive hemoptysis.

15 Now, I didn't want to say that earlier ,
16 but that, in fact, is I think something that needs to
17 be considered. If we are going to look at the data,
18 flawed as they are, then we need to begin scrutinizing
19 the data with all of the possible explanations.

20 DR. SCHILSKY: The striking thing in this
21 whole conversation to me is, we keep going around and
22 around I think on the same points, or we keep coming
23 back to the phrase, we don't know. So, after all this
24 discussion this afternoon it seems that we don't know
25 how good this treatment is and we don't know how toxic

1 it might be. And, if we don't know those two things,
2 I don't see how we can recommend that this treatment
3 be sold in American medicine.

4 DR. TEMPLE: In this case, we do know. On
5 page 48 it says there were seven patients with
6 hemoptysis in one group and four in the other.
7 Whether 30 days is a magic time or not could be
8 debated, obviously, but within 30 days two in the PDT
9 group and three in the YAG group, so that's the
10 hemoptysis thing.

11 But, someone knows what these other
12 adverse reactions are. They've been reported, but no
13 one seems willing to say. The company knows what they
14 are, they have a slide on it. Why won't they show it?

15 DR. JOHNSON: Again, they did have a
16 slide, Bob, and they showed those data, and they
17 showed it on slide 33, where in their alleged key
18 studies there were ten events that were called massive
19 fatal hemoptysis, or fatal massive hemoptysis in the
20 P-17 and P-503. That was a ten percent instance.

21 And, in the YAG group there were six such
22 incidences. Okay. I agree that that's not
23 statistically or maybe even clinically relevant,
24 that's not my point, I'm not trying to argue that.
25 The very next page, on slide 35, they talk about life

1 threatening, they didn't call them serious, the y
2 called them life threatening, to me that means i t
3 threatens your life, respiratory insufficiency fiv e
4 and one.

5 DR. WILLIAMS: They have a sli de up there
6 that works good.

7 DR. JOHNSON: Now, if I add th ose up, I'm
8 not really interested in looki ng at that slide at the
9 moment, if I add those up, and I realize coming from
10 Tennessee there's some danger in my doing this, bu t
11 five and ten equals 15, the last time I checked, and
12 six and one equals seven, and I think if you do a qui
13 square analysis on that, that's going to b e
14 statistically significant.

15 UNIDENTIFIED SPEAKER: .09.

16 DR. JOHNSON: Yes, with all these data ,
17 but I'm asking about, again, you know, I'm askin g
18 about the two major events, re spiratory insufficiency
19 and hemoptysis.

20 DR. AZAB: All the respirator y
21 insufficiency recovered except one. The onl y
22 respiratory insufficiency, as I mentioned, it --

23 DR. JOHNSON: It's not a question o f
24 whether they recover or not.

25 DR. AZAB: -- you are right, I'm jus t

1 explaining.

2 DR. JOHNSON: It's life threatening, and
3 that means they may not recover.

4 DR. AZAB: Yes, it is true, but if you
5 look at the whole group of life-threatening pulmonary
6 events this was not one of two events, these were
7 several ones, one was a repeat of severe dyspnea, one
8 where abnormal chest X-rays, pleural effusions,
9 pneumonia, there was a respiratory insufficiency also
10 in the nd:YAG arm, this is looking at all adverse
11 events at any time during the follow-up of the study,
12 not cutting within 30 days or without 30 days. So,
13 that's the total group, 17 percent and seven percent.

14 And also, if you look at it in terms of
15 the overall death within 30 days or beyond 30 days in
16 the trial, and if you look at the survival curves, these
17 incidents were similar.

18 It's just that the details of these
19 pulmonary events you have discussed.

20 CHAIRMAN DUTCHER: Let me summarize. From
21 the discussion and from the data that was presented,
22 it seems that we know -- yes -- it seems that we know
23 that some tumors shrink. Patients whose tumors
24 shrink, some of them feel better and some of them
25 don't, and we can't really determine who is who, and

1 we can also see that some people get sicker and some
2 people have adverse events, and it seems like there's
3 an increased number of those people who get this
4 particular therapy.

5 And, it seems to me the sense of the
6 committee is that this therapy is also available for
7 given individuals, whether it's approved by this group
8 or recommended by this group or not.

9 So, I think we've said everything we can
10 say. There's a lot of concerns raised, so I think we
11 have to do some voting. Do you want an answer to that
12 question?

13 DR. TEMPLE: Yes, sure. I was trying to
14 actually think of whether we should say anything about
15 the, it's available anyway point.

16 CHAIRMAN DUTCHER: You are welcome to. I
17 know we are not supposed to say that.

18 DR. TEMPLE: Well, we are criticized
19 severely, I should tell you, for not having uses that
20 all oncologists recognize as effective, in quotes, you
21 know, in the labeling, and we are at least sensitive
22 to that, that to the extent labeling is irrelevant to
23 what people actually do, people tend to disregard it
24 and say bad things about having standards, and that
25 worries us.

1 One of the remedies that's been proposed,
2 although not this year in Congress, is to put new uses
3 in the labeling if a lot of experts think they belong
4 there. That would not be my favorite choice for the
5 new effectiveness standard.

6 So, I'm a little -- this is on my mind as
7 we think of it, so I guess I would hope that you don't
8 take too much reassurance from the fact that it's out
9 there, in trying to think of whether it makes it or
10 not, you should try to have a standard that looks at
11 the data and don't think about that too much, much in
12 the way you shouldn't think about how much things
13 cost, even though one can hardly avoid it.

14 So, what we'd like to hear is whether you
15 think collectively, with all its flaws, these data
16 make it or not, and don't be particularly reassured by
17 the fact that people can use it anyway, if you can
18 help it.

19 CHAIRMAN DUTCHER: So, for all those who
20 believe that these two trials served as adequate and
21 well-controlled trials demonstrating the efficacy of
22 Photofrin for the treatment of patients with partially
23 or completely obstructing endobronchial non-small cell
24 lung cancer, please, raise your hand.

25 There is no hand raised, so it's a

1 unanimous no.

2 All right, we need to take a no vote. All
3 those who feel that they do not, please, raise you r
4 hand. Eight, nine, is it nine?

5 All those who abstain? I can' t see hands
6 at the end of the table. One, two.

7 We are missing -- Doctor Krook, what was
8 your vote?

9 DR. KROOK: No.

10 CHAIRMAN DUTCHER: No, so there were ten
11 no and two abstentions. Okay.

12 The second question is with regard t o
13 toxicity. I can read the question, considering th e
14 balance of efficacy and toxicity demonstrated in thes e
15 trials, should Photofrin be ap proved for reduction of
16 obstruction and palliation of symptoms in patient s
17 with completely or partially obstructing endobronchia l
18 non-small cell lung cancer.

19 All those who would vote to approve this
20 raise your hand.

21 DR. TEMPLE: Well, you don't really have
22 to answer that, you already told us there was n o
23 evidence of effectiveness.

24 DR. JOHNSON: No, we just said the trials
25 were no good, it didn't mean we didn't want to prove

1 it.

2 DR. TEMPLE: What?

3 DR. JOHNSON: That just says you are not
4 having this in the discussion.

5 DR. TEMPLE: Well, if you tell us there
6 are no adequate and well-controlled studies, I can
7 assure you we turn it down. There's no legal way to
8 approve it.

9 Okay, maybe you don't know that, so let me
10 make it clear. It would be a violation of the law for
11 us to approve a drug if there are no adequate and
12 well-controlled studies to support approval.

13 DR. JOHNSON: Who goes to jail, us or you ?

14 DR. TEMPLE: We do, but the main thrust
15 is, we can't follow your advice, if you tell us there
16 are no well-controlled studies but we should approve
17 it, we will say, thank you, but we can't. We have no
18 choice in that.

19 DR. JOHNSON: That's fine.

20 CHAIRMAN DUTCHER: Okay.

21 So, considering -- so, we don't need to
22 answer this question.

23 So now, superficial lung cancer
24 indications.

25 DR. TEMPLE: Was I remiss in not making

1 that clear earlier? I feel bad about this.

2 CHAIRMAN DUTCHER: Well, I think there are
3 some new people that, perhaps, haven't heard you say
4 that, but --

5 DR. TEMPLE: Okay, just to pin it down ,
6 the requirements of law for approval that relate t o
7 effectiveness are that there must be substantia l
8 evidence of effectiveness, and the law is unequivocal
9 in saying the only basis for finding substantia l
10 evidence of effectiveness is adequate and well -
11 controlled studies that are persuasive to experts .
12 That doesn't mean the studies have to be perfect, the y
13 have to be -- that's what I meant before by saying ,
14 you have to swallow hard sometimes, it include s
15 historical controls, which in another world peopl e
16 would describe as uncontrolled studies, but they can
17 be well-controlled studies according to ou r
18 regulations. But, one has to be able to say tha t
19 these are well-controlled studies, otherwise the y
20 can't serve as a basis for approval.

21 They could be well-controlled studies of
22 a surrogate endpoint. They could be studies o f
23 response rate, if people found that persuasive, bu t
24 they have to be well-controlled studies.

25 DR. SIMON: Is the distinction betwee n

1 these two questions that you might find adequate and
2 well-controlled studies demonstrating effectiveness of
3 the agent, but you might still not recommend it
4 because the degree of effectiveness is not
5 commensurate with the degree of toxicity?

6 DR. TEMPLE: Absolutely. The second part
7 of approving a drug is that you have to conclude that
8 it's safe for its effective use, and safe is
9 inherently a comparative statement, that means the
10 benefits have to outweigh the risks. So, that's why
11 we ask it in that order, you sort of, you have to
12 decide that it does something first, and then you
13 weigh the evidence of toxicity against the evidence of
14 benefit and make a second judgment.

15 DR. MARGOLIN: I think part of the
16 confusion may have been, even for those of us who have
17 been around for a while, that usually these kinds of
18 questions are worded that, that would be part 1A, and
19 then part 1B would say, if so, should we approve it.

20 DR. TEMPLE: We'll watch that next time.

21 DR. RAGHAVAN: I'd hate to think of Doctor
22 Temple, even though he's publicly -- I thought I heard
23 him publicly admit he was out of his mind about 15
24 seconds ago, but I would hate him to lose sleep over
25 this. I mean, I don't have to -- I understand the law

1 as it stands, and my vote was an abstention because I
2 choked on the thought that these were adequate and
3 well-controlled.

4 On the other hand, as I explained, there
5 was the universe of knowledge which influenced my
6 vote. In the context of the voting pattern of the
7 committee, my vote became irrelevant.

8 CHAIRMAN DUTCHER: Superficial lung cancer
9 indication, the applicant has collected a group of
10 patients with early lung cancer in whom surgery and
11 radiation are said to be contraindicated. Do the 24
12 indication patients represent a group of patients with
13 no standard therapeutic option? If not, can you
14 recommend criteria for selecting such a group?

15 Comments?

16 DR. JOHNSON: I'll make a quick comment
17 about this. I actually think that to the extent that
18 it's possible to do, they've selected a group of
19 patients in whom, certainly, surgery and/or radiation
20 therapy, in a curative sense, would be extraordinarily
21 difficult, and I don't think one could ever, in a
22 clear cut, black and white manner say that this
23 patient is or is not a candidate for curative therapy.

24 But, I think to the extent that that's
25 possible, they've done that with these criteria. So,

1 my personal view is that they have demonstrated a
2 group of patients, those with extraordinarily poor
3 pulmonary function, those who may have received prior
4 curative or radiation, and, therefore, no longer are
5 able to receive additional radiation therapy, and
6 certainly an assessment by a skilled thoracic surgeon
7 to suggest that this patient is inoperable is very
8 persuasive that that patient is inoperable.

9 So, I would think yes.

10 CHAIRMAN DUTCHER: Doctor Raghavan.

11 DR. RAGHAVAN: I really thought this would
12 be a relatively quick one. I agree with everything
13 Doctor Johnson said, except his last comment. And, my
14 reason for that is the one thing that they haven't
15 convinced me of is that all of these patients actually
16 have cancer. In the absence of histological review
17 and a notoriously difficult histological entity, I
18 don't see how we can draw those conclusions.

19 DR. TEMPLE: Is that everybody or just the
20 in situ?

21 DR. RAGHAVAN: The in situ.

22 DR. TEMPLE: And, not all of these
23 patients are in situ.

24 DR. RAGHAVAN: I mean, I think the
25 clinical trial's histological review is important. I

1 think that for clinical trials of this importance ,
2 histological -- central histopathological review is o f
3 critical importance. I think it's harder to make a
4 mistake about cancer versus no cancer in T1/T 2
5 disease, although it's been done. Every cancer cente r
6 will see patients, allegedly, with cancer, who upo n
7 histological review don't have it.

8 But, in TIS, it's a frequent error.

9 DR. SCHILSKY: Just one additiona l
10 comment. I mean, I think to be, I guess, precise, th e
11 criteria that were put forward to select patients to
12 be in the indication group didn't include anythin g
13 about the diagnosis. And so, personally, I agree tha t
14 these are reasonable criteria for selecting patients
15 who are not operable.

16 The criteria for the study, fo r enrolling
17 the patient in the study, obviously, relate to th e
18 diagnosis. So, I think, in my mind, it's perfectl y
19 reasonable to say that these patients should not b e
20 considered candidates for surgery or a curativ e
21 radiation. I don't think the histology factors into
22 this particular question, alth ough it clearly factors
23 into the discussion about whether those patient s
24 should be included in this dat a set, or, you know, in
25 the global analysis of these data.

1 CHAIRMAN DUTCHER: All those who think
2 that the 24 indication patients represent a group of
3 patients with no standard therapeutic option, please,
4 raise your hand, high. Ten.

5 Voting no? One.

6 Are we missing somebody? Did someone not
7 vote?

8 Abstention?

9 Is that right? Okay.

10 The following -- read the table -- this is
11 in the new handout that was in the folder for those of
12 you that are reading the wrong set of questions, the
13 following are histologically documented complete
14 response rates, where it describes -- does everyone
15 have this -- where it describes median survival, 3.5
16 years, 3.4 years for the indication group.

17 DR. WILLIAMS: It's a separate sheet.

18 CHAIRMAN DUTCHER: It's a separate sheet.

19 DR. MARGOLIN: It's the one with A and B
20 at the bottom, right?

21 CHAIRMAN DUTCHER: Right, with A and B at
22 the bottom. And then, at the very last, in the group
23 of patients with T1 disease the histological complete
24 response rates were three months CR1, 51 percent, one
25 year CR1, 31 percent. (A) Should Photofrin be

1 approved for treatment of endobronchial carcinoma in
2 situ or microinvasive non-small cell lung cancer i n
3 patients for whom surgery and radiotherapy are no t
4 indicated?

5 I think we've talked a lot about the micr o
6 -- the in situ, and the issues of its histology, s o
7 should we just vote on this? Does anyone want t o
8 clarify their position?

9 DR. JOHNSON: Well --

10 CHAIRMAN DUTCHER: B excludes the -- no,
11 B does not exclude -- B excludes the in situ.

12 DR. TEMPLE: You could vote on the m
13 separately, if you want.

14 CHAIRMAN DUTCHER: Do you want to vote on
15 B first?

16 DR. TEMPLE: Well, I mean, you could vote
17 on tumor in situ as one possible claim, an d
18 microinvasive as another, if you think that's a better
19 division, which obviously some people do.

20 DR. JOHNSON: I guess I'm not familia r
21 with the term microinvasive in the context of this ,
22 because, presumably, you are meaning a T1 lesion here ,
23 is that correct?

24 DR. WILLIAMS: Correct. The origina l
25 wording was microinvasive, and I guess the patient s

1 were radiologically occult, and most of them T1, I
2 certainly don't want it approved for T2.

3 So, I think here we are talking about
4 microinvasive, that is small T1s, microinvasive T1s.

5 DR. JOHNSON: Because, certainly, the word
6 microinvasive has a different connotation in some
7 tumor types and how one approaches them. I wonder if
8 we might -- well, I suppose, let's deal with A first,
9 and then I guess we could deal with -- it seems like
10 A precludes B, I don't know, because it says, or
11 microinvasive. It says, endobronchial carcinoma in
12 situ or microinvasive.

13 DR. WILLIAMS: Well, the original
14 indication was, basically, it means approving for
15 both. I think it would be better for you to do it CIS
16 and then T1, or microinvasive.

17 DR. TEMPLE: Do it separately, we can put
18 it together.

19 DR. JOHNSON: Okay.

20 CHAIRMAN DUTCHER: Okay.

21 Should Photofrin be approved for treatment
22 of endobronchial carcinoma in situ, in non-small cell
23 lung cancer patients for whom surgery and radiotherapy
24 are not indicated?

25 Doctor Simon.

1 DR. SIMON: I guess I just want to have a
2 little clarification, maybe a little discussion here.
3 I mean, one problem I have with this is, it seems to
4 me it's probably -- is it actually possible to do
5 randomized clinical trials in this sort of setting?
6 It seems like it's such a rare -- unless I'm wrong,
7 please correct me, are the number of patients such
8 that you could ever really do randomized clinical
9 trials for this kind of a subset of patients? So, I
10 guess I'd like to hear some discussion of that here,
11 and I guess the other thing I'm somewhat -- I mean, it
12 seems to me there's two points of view you could have
13 to the superficial set of patients. One is, well, how
14 do we really know, how do we really know that these
15 patients benefitted, that they wouldn't recurred just
16 as early because of other sites of disease, they
17 wouldn't have died just at the same time, we really
18 didn't have a control group, we really didn't have --
19 we don't have good historical control data, so there's
20 that point of view.

21 And, the other point of view is,
22 certainly, when we are talking about invasive cancer,
23 is that these patients have tumors that those may not
24 be the lesion, the lesions being treated may not be
25 the ones that are going to kill them, but they are

1 probably -- history is probably pretty good in saying
2 that those lesions are not going to go away by
3 themselves, and that there's no other treatment
4 available for those patients, or for those lesions.

5 And, on that basis -- and it's probably
6 not possible to do randomized clinical trials for that
7 set of patients -- so, I don't know, that combination
8 of things would tend to make me favor approval. So,
9 I'd like to hear some discussion of that.

10 CHAIRMAN DUTCHER: Doctor Krook?

11 DR. KROOK: I agree with you, I don't
12 believe you can do a randomized trial in this group.
13 I think they are rare. I don't think that, at least
14 in my experience, you can get people to accept an
15 observation versus doing X, or Photofrin, or
16 otherwise, and I agree with you, as a clinician.

17 CHAIRMAN DUTCHER: Doctor Raghavan.

18 DR. RAGHAVAN: Yes. I think you have a
19 misapprehension here, because I think you can do well-
20 structured trials. I think the problem that exists
21 with the database we have here is, and it could
22 actually be that that database could be fixed by
23 getting histological review, we don't know what's been
24 treated, and that's the problem here. It may well be
25 that the company can go back to its centers, get the

1 slides out and do a resubmission, demonstratin g
2 exactly with histologically co ntrolled accuracy, what
3 they've treated. That's the problem here.

4 I don't think that you'd need to do a
5 randomized clinical trial anymore than these day s
6 would be appropriate to do an observation versu s
7 therapy trial for carcinoma in situ of the cervix .
8 That was done in New Zealand a nd the subject of major
9 litigation less than 15 years ago, but I think th e
10 issue is that one could, in fact, salvage the databas e
11 here in a relatively simple fashion.

12 Now, whether that requires the company a nd
13 the FDA to get together and do it in an offic e
14 session, as opposed to at this committee, I'm no t
15 sure, but I think these data could be salvaged b y
16 histological review. I don't think you'd have t o
17 start from square one.

18 If the data showed that upon review non-
19 cancers were treated, the comp any has a mega problem.
20 If the data show that real can cer in situ was treated
21 and T1 disease, then I think the whole context of thi s
22 second half of the discussion would change.

23 DR. TEMPLE: It seems important to tease
24 two separate questions out. One is whether th e
25 studies actually showed anything, which is what yo u

1 are really asking, because you don't know whether the
2 people have the disease.

3 If the committee in general thinks that we
4 should make sure the histopath is reviewed, we can
5 certainly do that.

6 But, apart from that, I thought I heard
7 questions before about whether it's worth treating
8 tumor in situ at all, and several people said, yes,
9 absolutely, they could do a randomized trial. So, I'm
10 a little confused by some of the discussion that's
11 followed here, or maybe it's just a debatable
12 question, that's why.

13 DR. MARGOLIN: I'm not at all an expert in
14 lung cancer, but, certainly, it sounds like Doctor
15 Johnson was willing to say that we really don't know
16 as much as we need to about the natural history of
17 these early pre-invasive or microinvasive cancers,
18 and, furthermore, in terms of the patients that were
19 selected in this indication group, the way they were
20 picked was not based on symptoms that led to the
21 finding of a radiographically transparent
22 endobronchial lesion, but they were -- at least we
23 were told that these were screening and follow-up
24 bronchoscopies and cytologies, things that I don't
25 think are standard or routine for the lung cancer

1 community, which means I'm not sure how representativ e
2 they are of community practice.

3 Furthermore, I think knowing would b e
4 essential, and was suggested here with the pathology
5 review and the case review, that we know where th e
6 relapses occurred and, truly, what, if we can't tell
7 what the natural history of the disease would be i n
8 this trial, which wasn't contr olled, at least to know
9 the natural history of the relapses in this treate d
10 group of a subset of a subset of patients who eve n
11 came to the trial by a very strange route.

12 DR. SWAIN: I'd just like to c omment too,
13 and I also heard Doctor Johnson say that he though t
14 the trial could be done, the reason why I was the onl y
15 one to vote for no for the first one was because I wa s
16 not clear at all that we know what to do for thes e
17 patients. Everybody has kind of said that, so I don' t
18 think those ten patients that were included with TIS,
19 if they have it, were really clearly a clea r
20 indication for this therapy at all. I don't think we
21 have that answer.

22 And, if Doctor Johnson is righ t, and it's
23 a field effect, not just a local effect, then w e
24 certainly should be doing randomized trials with othe r
25 agents.

1 DR. TEMPLE: That relates to tumor i n
2 situ. So, you think there's doubt about whether w e
3 know that that should be treated, therefore, it's hard
4 to give an indication for it.

5 DR. JOHNSON: Well, I think we all kno w
6 that it ought to be treated. The question is, wit h
7 what? I mean, because carcinoma in situ eventuall y
8 evolves into carcinoma, in most instances, and it' s
9 been certainly the paradigm for other tumor types ,
10 where that type of pre-malignant process exists.

11 But, that's why ongoing randomized -- if
12 we can't do -- I can't believe that we would argu e
13 that this is not doable, when we've just completed a
14 1,400 patient trial in patient s who had resectable T1
15 lesions, and that's why I made my caveat earlier, in
16 whom recurrence rates has been correctly pointed out,
17 or second primary tumor rates are high, and clearl y
18 the reason they are high is be cause these people have
19 a high risk for recurrent dise ase. They have a field
20 effect, and some of those are carcinomas in situ.

21 Now, there may be other reasons too, but
22 we just completed a study of nothing, placebo versus
23 vitamin A, and that's been done in head and nec k
24 cancer and other cancers, so it's not a question of i t
25 not being doable, it's doable, you just have to selec t

1 the right patients.

2 What I said was, you are never going to be
3 able to do the randomized trial in this group of
4 patients because they are very, very, very rare, and
5 that -- you know, but I think the issue we continue to
6 sort of confuse, I think, what this first question
7 asked was, have they selected a group of patients, put
8 aside for the moment the issue of were they correctly
9 diagnosed, but were they physiologically and for other
10 medical reasons not candidates for other forms of
11 therapy.

12 I think the company attempted to select
13 that group of patients for obvious reasons. There
14 were not other options for these patients, and they
15 were suggesting that maybe this approach would be
16 beneficial.

17 I think it's harder to make the conclusion
18 that it is for the TIS patients, for the reasons I
19 have stated, we don't even know what to do with
20 standard therapy for that group. You usually tell
21 people to quit smoking, that's the first thing you do,
22 and tell them to go out and eat an apple or something.

23 But, for the TIs, that's a different
24 issue, but for operable patients, I think you could
25 conceivably consider doing that, but it would be very

1 tough. You'd have to have a group like the May o
2 group, or someone -- or the M.D. Anderson group, who
3 has a large screening process underway, and you'd have
4 to recruit other institutions that have a high
5 thoracic oncology program to do it. I think it's
6 doable.

7 DR. TEMPLE: But, we need to understand in
8 the committee. Let's assume for the moment that we
9 can show that they had tumor in situ, and let's assume
10 for the moment, as you just answered, that a group was
11 defined that couldn't get the alternative therapies of
12 radiation and surgery, and that there are people,
13 albeit not very many, who have tumor in situ, and the
14 question is, is that -- and, I assume that people
15 believe it was shown that you could make those lesions
16 go away for a reasonable period of time, and that
17 there was a complete response rate that was
18 respectable and of adequate duration.

19 If you think all that, does that merit a
20 claim? That's what this question is. Or, is there so
21 much uncertainty about what to do with those people
22 that it's like recommending treatment of a non-
23 disease.

24 DR. JOHNSON: I personally don't think it
25 is. As I've said, the difference between a produc t

1 like, let's use retinoic acid, which is a systemi c
2 product that presumably affects the entire field, is
3 a different phenomena than doing something localized
4 in a situation where the entire aero-digestive tract
5 is at risk for recurrent disease.

6 I mean, we are sort of drifting off this
7 issue a little bit, but I thin k it's a tough one when
8 you are talking about these carcinomas in situ.

9 And, this is my practice, that along with
10 breast, and, I mean, I see hun dreds of patients every
11 year, these are not common patients, you know, the y
12 are not common, unless you have a program that i s
13 specifically addressing this i ssue. So, it's hard to
14 answer the question, I think, Bob, is the real issue
15 that we are wrestling with.

16 CHAIRMAN DUTCHER: Doctor Simon.

17 DR. SIMON: I just want to get clear o n
18 this point, so the -- what wou ld the randomized trial
19 be, you'd do it in operable patients?

20 DR. JOHNSON: Well, yes, I was going t o
21 say, there are several ways that we could debate the
22 design of the study, but, again, this is taking a
23 subset of a subset of a patien t population, so to ask
24 if you could do a randomized t rial in such a group of
25 patients would be like asking, could you do a study i n

1 a thousand left handed Tennesseans that, you know ,
2 live at my house. You know, they are not many o f
3 them.

4 DR. TEMPLE: Well, there'd be no reason t o
5 do the trial only in people wh o were non-surgical and
6 non -- I mean, if you want to find out what the value
7 of treating tumor in situ is, you can study that in a
8 broader population, you still have to study it is.

9 DR. JOHNSON: Yes, I agree with that.

10 DR. SIMON: I guess I am lost by the logi c
11 somewhat. It seems like the claim is for th e
12 treatment of patients who are not surgical candidates
13 and not radiotherapy candidates. They are no t
14 claiming that this treatment is as good as surgica l
15 resection, and so whether you could do such a trial,
16 which I think is -- you know, I don't know whether yo u
17 could do such a trial comparing it to --

18 DR. JOHNSON: I think the issue is --

19 DR. SIMON: -- you know, I mean, I think
20 is sort of not directly relevant.

21 DR. JOHNSON: -- well, it is relevant ,
22 because it suggests that by selecting this group o f
23 patients that one might have operated upon a patient
24 had they been, and they would have, therefore ,
25 benefitted by that.

1 And, even that is debatable, i s my point.

2 DR. TEMPLE: I understood the trial to be
3 one of treatment with something versus watchfu l
4 waiting. In fact, I thought that's what we talke d
5 about before.

6 DR. JOHNSON: No, I am, but I' m answering
7 Rich's question.

8 DR. TEMPLE: Well, that's the answer ,
9 that's what you'd need to demonstrate, otherwis e
10 there's no point in recommending treatment if yo u
11 don't know if treatment of any kind does any good.

12 DR. JOHNSON: It's a controversial are a
13 that actually, at the risk of seemingly abandoning my
14 alleged unbiased position here, as Doctor Pass and I
15 happen to co-edit a book on lung cancer, and one o f
16 the things that we wrestled with is how do you presen t
17 data about management of this very group of patients.
18 It's a very difficult group of patients.

19 And, we actually have debated as recently
20 as six days ago at an editorial board meeting abou t
21 whether to include this group of patients as a
22 separate entity to discuss wit hin the context of this
23 text book.

24 So, I mean, these are issues that ar e
25 problematic. So, I think when you ask us, can we mak e

1 a conclusion on the basis of about ten patient s
2 whether this approach has made any relevant benefit to
3 this group of patients, I just don't see how one can
4 conclude the answer to that is anything but no.

5 Now, that's why I'm glad you separate d
6 these questions, because I think there's another issu e
7 here that I feel a little bit more comfortable about
8 saying another answer.

9 CHAIRMAN DUTCHER: All right.

10 So, let's vote on A. And, A is onl y
11 endobronchial carcinoma in situ. All those -- what?

12 DR. RAGHAVAN: Could you just clarify, is
13 this subject to histological review, in other words,
14 to what --

15 CHAIRMAN DUTCHER: Yes, subject t o
16 histologic review confirming that the patients that w e
17 were presented all had the diagnosis of carcinoma in
18 situ.

19 DR. RAGHAVAN: And, they are n ot surgical
20 candidates.

21 CHAIRMAN DUTCHER: This is for the subset
22 of not -- surgery or radiotherapy are not indicated.

23 DR. TEMPLE: That would be the claim, we
24 wouldn't spend more time confirming that they weren't ,
25 right.

1 DR. JOHNSON: And, let's be sure, how many
2 of those 24 patients had TIS? Ten, right?

3 DR. MARGOLIN: Well, it was 42 percent.

4 DR. WILLIAMS: They do have ten, the
5 philosophy we took in this was to look at the overall
6 rate of response in the overall group, and to make
7 sure that there were such patients within the
8 indication group.

9 DR. TEMPLE: But, am I right in that, were
10 there ten that --

11 CHAIRMAN DUTCHER: Forty-two percent.

12 DR. JOHNSON: Forty-two percent what?

13 DR. TEMPLE: But, Grant is making the
14 point that if you are interested in whether this
15 lesion responds, you can look at the whole group, not
16 just the indication group, because whether someone can
17 get a knife where the lesion is probably doesn't have
18 anything to do whether it responds. I mean, that's
19 the theory anyway.

20 So, it's a larger experience with tumor in
21 situ than just the indication group.

22 DR. JOHNSON: I know, and maybe this --

23 CHAIRMAN DUTCHER: It's 20 out of 100.

24 DR. JOHNSON: -- right, maybe this is
25 being too picky on this, but we were asked again for

1 a group in whom surgery and radiation therapy were not
2 indicated, and we had a panel of experts go through
3 this group and they by consensus came to the
4 conclusion that those 24 were clearly not treatable in
5 that manner.

6 I understand the biological difference, ,
7 I'm just trying -- because the indication, though, is
8 for those who are not candidates for surgery or
9 radiotherapy.

10 DR. TEMPLE: The indication is for those,
11 but the evidence of effectiveness, according to the
12 way --

13 DR. JOHNSON: Yes, I understand.

14 DR. TEMPLE: -- it was completed could
15 come from a larger group.

16 DR. JOHNSON: Okay.

17 CHAIRMAN DUTCHER: Does everybody
18 understand where we are at this point?

19 Okay. Should Photofrin be approved for
20 treatment of endobronchial carcinoma in situ, given
21 all the discussion about what it is, and how it isn't
22 treated, in patients for whom surgery and radiotherapy
23 are not indicated? All those who say it should be
24 approved raise your hand. Four.

25 All those who feel it should not be

1 approved for in situ? Seven.

2 Okay.

3 Should Photofrin be approved for treatment
4 of T1 non-small cell lung cancer in patients for whom
5 surgery and radiotherapy are not indicated?

6 Discussion, brief discussion, no
7 discussion.

8 Doctor Schilsky.

9 DR. SCHILSKY: No one else is going to
10 discuss anything.

11 I just -- I guess I just wanted to, I
12 don't know, offer a cautionary note, which doesn't
13 necessarily bear on the way I'm going to vote. My
14 personal opinion is that the answer to this question
15 should be yes, because I do think that in this group
16 of patients, for whom there are no other options, who
17 clearly have an invasive cancer and for whom the
18 outcomes look pretty good, albeit small numbers and
19 not well-controlled studies, I'm prepared to say yes.

20 My cautionary note, I guess, is that --
21 which is not directly relevant to this, but I have a
22 concern about whether or not this therapy might be
23 applied in patients with T1 tumors who are candidates
24 for resection, and, you know, of course, I guess the
25 argument could be made, well, you could do that right

1 now because the drug is out there, but, you know, I
2 don't know if anyone else on the panel would share my
3 concerns, but it seems to me that it's a sort of a
4 easy thing to imagine why somebody might not be a good
5 candidate for surgery or radio therapy, you know, that
6 it's not clearly within these fairly rigorous criteria
7 that have been established by the experts, and then to
8 just say, well, we've got this Photofrin stuff we'll
9 give them, treat them with that.

10 So, I think that the data that we have
11 would support recommending Photofrin for this group of
12 patients, although I do have some concerns about how
13 it will ultimately be used in the medical community,
14 not entirely germane, but I wanted to express that.

15 DR. JOHNSON: Yes, well, I think it's a
16 very relevant point, and I think it should be pointed
17 out that T1 resectable lesions, that are truly T1 and
18 are node negative, those patients have a pretty good
19 five year survival rate that's somewhat dependent on
20 histology as high as 85 percent in those with squamous
21 cell carcinomas, maybe 70 percent in those with
22 adenocarcinoma, so it's a group of patients, those are
23 pathologically staged patients, but still, that's a
24 group of patients that does quite well with standard
25 resection.

1 And, unfortunately, again, in my practice
2 I can say categorically that we see patients that have
3 been deemed "unresectable," but have never seen a
4 thoracic surgeon, for example. And, when they, in
5 fact, go to a thoracic surgeon, someone who is
6 accustomed to doing that type of work, they clearly
7 become resectable.

8 DR. SCHILSKY: This may cut down on
9 referrals to thoracic surgeons, because pulmonologists
10 may just pull out the Photofrin.

11 DR. SIMON: Can -- I guess there's nothing
12 you can do about that in the labeling?

13 DR. TEMPLE: Put really unresectable.

14 DR. SIMON: Really, really.

15 DR. JOHNSON: Perhaps, you could store it
16 in thoracic surgeons' offices.

17 DR. TEMPLE: We can think about how to
18 emphasize that.

19 CHAIRMAN DUTCHER: Yes.

20 MR. GIDDES: As a lung cancer survivor, I
21 would think that I would like to -- I'd go yes on
22 this, because your treatment is right away. The only
23 other thing I can think of, you'd have chemo, and I
24 can tell you, going through chemo, you have a long
25 waiting game that is very taxing to your family and

1 yourself, where I assume this you could hear, yo u
2 know, within 30 days or so.

3 DR. MARGOLIN: I'm sorry, but clarif y
4 that, that's not quite right. The distinction i n
5 treatments in these patients i s not going to be chemo
6 versus Photofrin.

7 MR. GIDDES: No, but if you don't d o
8 surgery or radiation, what other ways are you going t o
9 handle T1?

10 DR. JOHNSON: Well, again, it's a matter
11 -- it's what deemed resectable and unresectable, it's
12 all in the mind of the surgeon to a certain degree ,
13 and there are techniques available today that woul d
14 permit one to do surgical resection in patients i n
15 whom one might not do a standard type of procedure ,
16 for example. And, we don't know that that necessaril y
17 would be beneficial, but, again, I happen to agre e
18 that this is an indication that makes more sense to m e
19 than some of the others that we've talked about today .

20 DR. MARGOLIN: I think we're talking abou t
21 curative modalities here, and so even if patients are
22 not -- have major contraindications to surgery o r
23 external beam radiation, some of the brachytherapies,
24 as well as we haven't talked about the YAG laser for
25 these lesions, but I imagine in some patients tha t

1 would also be an option. But, chemotherapy doesn't
2 have curative potential for this.

3 CHAIRMAN DUTCHER: Can we vote? Okay.

4 Should Photofrin be approved for treatment
5 of T1 non-small cell lung cancer in patients for whom
6 surgery and radiotherapy are not indicated after
7 pathology review?

8 DR. WILLIAMS: Could I make a comment?
9 The QLT had suggested microinvasive, I think for a
10 good reason, T1 goes up to three centimeters.

11 CHAIRMAN DUTCHER: Okay.

12 DR. WILLIAMS: So, I don't really think --
13 I think their original suggestion is more realistic,
14 unless you want the people to treat three centimeter
15 tumors with --

16 DR. JOHNSON: Well then, they are going to
17 have to define microinvasive. I mean, I think that
18 that's not a term that one normally uses in this --

19 DR. WILLIAMS: Right, right.

20 DR. JOHNSON: -- situation.

21 DR. WILLIAMS: Well, we can work on that.
22 We could use T1 and qualify it --

23 DR. JOHNSON: Yes.

24 DR. WILLIAMS: -- with the size and depth
25 of invasion or something.

1 CHAIRMAN DUTCHER: And, you can define the
2 loss of -- the degree of poor protoplasm that would be
3 the indicated patient. All right.

4 DR. WILLIAMS: That's the other thing that
5 we can discuss, is how to define that group.

6 CHAIRMAN DUTCHER: Right.

7 DR. WILLIAMS: If we should, and how to
8 define the group further.

9 CHAIRMAN DUTCHER: All those who would
10 vote to approve? Nine.

11 Those who would vote no?

12 DR. OZOLS: Abstain.

13 CHAIRMAN DUTCHER: Abstained, Doctor
14 Ozols.

15 Well, any other comments from the
16 committee?

17 Okay, thank you very much, the meeting is
18 adjourned. We will start tomorrow morning at 8:30.

19 (Whereupon, the meeting was recessed at
20 5:10 p.m., to reconvene at 8:30 a.m., tomorrow
21 morning.)

22

23

24

25