

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

UNITED STATES OF AMERICA

* * * * *

DEPARTMENT OF HEALTH AND HUMAN SERVICES

+ + + + +

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR DRUG EVALUATION AND RESEARCH

+ + + + +

DIVISION OF ONCOLOGY DRUG PRODUCTS

+ + + + +

54TH MEETING

+ + + + +

FRIDAY,

SEPTEMBER 19, 1997

+ + + + +

The meeting took place in Versailles
Ballrooms I and II, Holiday Inn Hotel-Bethesda, 8120
Wisconsin Avenue, Bethesda, Maryland at 8:30 a.m. ,
Janice J. Dutcher, M.D., Chairman, presiding.

PRESENT :

JANICE J. DUTCHER, M.D.	Chairman
JANNETTE O'NEILL-GONZALEZ	Executive Secretary
DAVID H. JOHNSON, M.D.	Member
JAMES KROOK, M.D.	Member
KIM A. MARGOLIN, M.D.	Member

1	ROBERT OZOLS, M.D., Ph.D.	Member
2	RICHARD L. SCHILSKY, M.D.	Member
3	SANDRA SWAIN, M.D.	Member
4	DONALD W. NORTH FELT, M.D.,	
5	F.A.C.P.	Guest Expert
6	DAVID M. ABOULAFIA, M.D.	Guest Expert
7	MICHAEL MARCO, B.A.	Patient Representative
8	DESMAR WALKES, M.D.	Consumer Representative
9	ROBERT DELAP, M.D., Ph.D.	FDA Representative
10	JOHN JOHNSON, M.D.	FDA Representative
11	ROBERT JUSTICE, M.D.	FDA Representative
12	KEN KOBAYASHI, M.D.	FDA Representative
13	ROBERT TEMPLE, M.D.	FDA Representative
14	SAMUEL BRODER, M.D.	Sponsor Representative
15	KEN DUTCHIN, Ph.D.	Sponsor Representative
16	PARKASH GILL, M.D.	Sponsor Representative
17	GREGORY HARRIMAN, M.D.	Sponsor Representative
18	JOHN HOWES, Ph.D.	Sponsor Representative
19	GREGORY HARRIMAN, M.D.	Sponsor Representative
20	JOHN HOWES, M.D.	Sponsor Representative
21	MICHAEL BETTS	Patient Perspective
22	STEVEN CAROL	Patient Perspective
23	ERIC FLETCHER	Patient Perspective
24	GAVIN GRAY	Patient Perspective
25	DAVID GREEN	Patient Perspective

1 JIM MOLINA Patient Perspective

2 WILLIAM W. LI, M.D. Public Comment

3 ALSO PRESENT :

4 STEVE CARRIER, Ph.D.

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1	T-A-B-L-E O-F C-O-N-T-E-N-T-S	
2		<u>Page</u>
3	Call to Order and Opening Remarks	5
4	Conflict of Interest Statement	7
5	Open Public Meeting, Dr. Li	9
6	Applicant's Presentation	14
7	Introduction, Dr. Howes	14
8	Kaposi's Sarcoma, Dr. Broder	15
9	Study Protocol, Dr. Gill	20
10	Comparative Results, Dr. Harriman	25
11	Dr. Duchin	25
12	Patient Perspectives:	
13	Eric Fletcher	41
14	Steven Carol	46
15	Jim Molina	53
16	Gavin Gray	61
17	Michael Betts	61
18	Committee Questions	66
19	FDA Presentation	101
20	Ken Kobayashi	101
21	Questions	122
22	Donald Northfelt	134
23	Questions	139
24	Adjourn	146
25		

1 P-R-O-C-E-E-D-I-N-G-S

2 8:47 a.m.

3 CHAIRPERSON DUTCHER: We are n ot going to
4 get started for about five more minutes. We ar e
5 waiting for some handouts. So feel free to ge t
6 another cup of coffee.

7 [Pause.]

8 CHAIRPERSON DUTCHER: Good mor ning. This
9 is the Oncology Drug Advisory Committee meeting. My
10 name is Janice Dutcher. I'm an oncologist at Albert
11 Einstein Cancer Center in New York and I'd like t o
12 have the members of the committee introduce themselve s
13 and where they are from. We can start at this end ,
14 please.

15 DR. WALKES: My name is Desmar Walkes .
16 I'm a family practitioner from Bastrop, Texas and the
17 consumer rep substituting on the committee.

18 DR. OZOLS: Bob Ozols, medical oncologist
19 from Fox Chase Cancer Center in Philadelphia.

20 DR. SWAIN: Sandra Swain, medica l
21 oncologist, Washington, D.C.

22 DR. SCHILSKY: Rich Schilsky, medica l
23 oncologist, University of Chicago.

24 LT. O'NEILL-GONZALEZ: Jannette O'Neill-
25 Gonzalez, Executive Secretary for FDA and for th e

1 committee.

2 DR. JOHNSON: I'm David Johnson, medical
3 oncologist at Vanderbilt University.

4 DR. SIMON: I'm Richard Simon ,
5 biostatistician, National Cancer Institute.

6 DR. MARGOLIN: Kim Margolin, medica l
7 oncologist, City of Hope, Duarte, California.

8 DR. ABOULAFIA: Dave Aboulafia, medica l
9 oncologist and hematologist, Virginia Mason Clinic ,
10 Seattle, Washington.

11 DR. NORTHFELT: Don Northfelt, I'm a need s
12 oncologist at University of Ca lifornia, San Diego and
13 Pacific Oaks Medical Group.

14 DR. MARCO: I'm Michael Marco, Director o f
15 Opportunistic Diseases for the Treatment Action Group ,
16 New York.

17 DR. KROOK: Jim Krook, medical oncologist ,
18 Duluth, Minnesota.

19 DR. DELAP: Bob DeLap, Oncology Dru g
20 Division Director, FDA.

21 DR. JOHNSON: John Johnson, Cl inical Team
22 Leader, FDA.

23 DR. KOBAYASHI: Ken Kobayashi, Medica l
24 Officer, FDA.

25 DR. TEMPLE: Bob Temple, Director o f

1 Office of Drug Evaluation I.

2 LT. O'NEILL-GONZALEZ: Good morning. I'm
3 going to be reading the Conflict of Interest t
4 Statement.

5 The following announcement addresses
6 conflict of interest issues associated with this
7 meeting and is made a part of the record to preclude
8 even the appearance of a conflict. Based on this
9 Committee's agenda and information provided by the
10 participants, the Agency has determined that all
11 reported interests in firms regulated by the Center
12 for Drug Evaluation and Research present no potential
13 for a conflict of interest at this meeting with the
14 following exceptions.

15 In accordance with 18 U.S.C. 208(b)(3) ,
16 full waivers have been granted to Dr. Sandra Swain and
17 Dr. Kim Margolin. A copy of this waiver statement may
18 be obtained by submitting a written request to the
19 Agency's Freedom of Information Office, Room 12830 of
20 the Parklawn Building.

21 In addition, we would like to disclose for
22 the record that Dr. Ozols and his employer, the Fox
23 Chase Cancer Center, have interests in Bristol-Myers
24 Squibb and Pharmacia Upjohn, sponsors of competing
25 products to Paxene which do not constitute financial

1 interest in the particular matter within the meaning
2 of 18 U.S.C. 208.

3 Notwithstanding these interests, it has
4 been determined that it is in the Agency's best
5 interests to have Dr. Ozols participate fully in all
6 matters concerning Ivax's Paxene.

7 With respect to FDA's invited guests, Dr.
8 Donald Northfelt has reported interest which we
9 believe should be made public to allow these
10 participants to objectively evaluate his comments.
11 Dr. Northfelt would like to disclose that in 1996 he
12 received consulting and speakers fees from Sequoia
13 Pharmaceuticals.

14 In the event that the discussion involves
15 any other products or firms not already on the agenda
16 for which an FDA participant has a financial interest,
17 the participants are aware of the need to exclude
18 themselves from such involvement and their exclusion
19 will be noted for the record.

20 With respect to all other participants, we
21 ask in the interest of fairness that they address any
22 current or previous financial involvement with any
23 firm whose products they might wish to comment on.
24 Thank you.

25 CHAIRPERSON DUTCHER: Let me just

1 reiterate, as we discussed yesterday, we will have an
2 open public hearing at this point in the meeting. In
3 addition, additional time has been added to the
4 sponsor's time for patients whom they would like to
5 have speak on behalf of their drug to come forward.
6 So that will be later in the morning.

7 But for right now, we will have the open
8 public hearing and Dr. Li has asked to speak. Please
9 identify yourself and your constituency.

10 DR. LI: Lieutenant O'Neill-Gonzales, Dr.
11 Dutcher, members of the Committee. Good morning and
12 thank you for the opportunity to come here to speak.

13 I'm Dr. William Li, medical director of
14 the Angiogenesis Foundation, a 501(c)(3) non-profit
15 organization whose mission is to coordinate global
16 efforts in bringing about angiogenesis-based
17 therapies. Today I've come to this Oncologic Drugs
18 Advisory Committee meeting on Paclitaxel or paclitaxel, to
19 direct the Committee's attention to the angiogenesis
20 inhibitory activity of paclitaxel, a property which we
21 believe is under recognized. The Committee should
22 consider that Paclitaxel's antiangiogenic effects may
23 contribute to its cytotoxic effect on tumor cells.

24 Paclitaxel is an effective cancer
25 chemotherapeutic agent that has been used to treat

1 refractory ovarian cancer, metastatic breast cancer,
2 advanced head and neck cancer, non-small cell lung
3 cancer, and malignant melanoma. Several clinical
4 trials suggest its effectiveness in regressing AIDS-
5 associated Kaposi's sarcoma.

6 Paclitaxel has unique mechanisms of
7 action. The mechanism commonly cited is its binding
8 to the beta two subunit of tubulin. This prevents
9 depolymerization and promotes stabilization of
10 microtubules. Because of this, paclitaxel inhibits
11 mitotic spindle formation, the G2 and M phase of the
12 cell cycle, cell proliferation, cell motility and
13 chemotaxis. This mechanism is thought to be directly
14 responsible for paclitaxel's anticancer effects.

15 There is, however, another mechanism by
16 which paclitaxel inhibits tumor growth. Paclitaxel
17 also inhibits angiogenesis, the process of new blood
18 vessel formation.

19 Solid tumor growth is dependent upon
20 angiogenesis. Without a new blood supply, tumors are
21 restricted to a small size, less than two millimeters
22 in diameter. Once angiogenesis is initiated by tumor
23 cells, new vessels bring in oxygen, nutrients and
24 survival factors that allow for exponential tumor
25 growth, invasion and metastases. The concept of

1 antiangiogenesis, first proposed in the early '70s, is
2 designed to inhibit this process and it's a new
3 therapeutic modality being developed by pharmaceutical
4 companies worldwide, and by the National Cancer
5 Institute. We believe that paclitaxel's
6 antiangiogenic activity also contributes to its anti-
7 tumor activity.

8 Paclitaxel inhibits angiogenesis by at
9 least three mechanisms. It inhibits endothelial cell
10 proliferation. It inhibits endothelial cell
11 locomotion. And it inhibits protease production by
12 endothelial cells, including the production of
13 collagenase, which is involved in dissolving the
14 extracellular matrix surrounding growing new blood
15 vessels.

16 Paclitaxel inhibits angiogenesis in
17 experimental systems such as the chicken
18 chorioallantoic membrane and in vitro cultures of
19 capillary endothelial cells. Studies by Ernest Brahn
20 at UCLA also show that paclitaxel can inhibit
21 angiogenesis in an animal model of collagen-induced
22 arthritis. In companies like Bristol-Myers Squibb and
23 Angiotech Inc. have specifically referred to
24 antiangiogenesis as one activity of paclitaxel.

25 How might this information influence the

1 Committee's views of Paxene?

2 First, Paxene's antiangiogenic activity
3 lends validity to its rationale for treating Kaposi's
4 sarcoma. KS lesions are highly angiogenic, composed
5 of vascular-like spindle cells and they secrete at
6 least six angiogenic cytokines, including basic
7 fibroblast growth factor, vascular endothelial cell
8 growth factor, platelet-derived growth factor,
9 interleukin-6, transforming growth factor beta, GM-
10 CSF, and also the HIV-Tat protein. Therefore,
11 antiangiogenesis is a rational approach to treating
12 KS.

13 Second, because of its antiangiogenic
14 activity, Paxene may have promise for treating other
15 angiogenesis-dependent diseases, including rheumatoid
16 arthritis, diabetic retinopathy, psoriasis, and solid
17 tumors. Further studies need to be conducted. Until
18 such studies are completed, we believe that
19 appropriate cautions for the off-label use of Paxene
20 should be developed.

21 Third, there may be valuable lessons to be
22 learned from other angiogenesis-inhibitor drugs in the
23 clinic, such as TNP-470, thalidomide, marimastat, and
24 interferon-alpha. With these drugs, we are learning
25 that long-term therapy is needed for efficacy. The

1 optimal biological dose may be lower than the maximal
2 tolerated dose. And, that the detection of angiogenic
3 cytokines in blood, urine and cerebrospinal fluid may
4 serve as useful surrogate markers to monitor therapy.

5 Fourth, if approval is given, during the
6 post-marketing surveillance period for Paxene, we
7 encourage physicians to be alert for possible
8 unanticipated, beneficial antiangiogenic effects such
9 as the inhibition or stabilization of diabetic
10 retinopathy or improvement in psoriasis in those
11 Paxene treated AIDS patients with these comorbid
12 conditions.

13 There may also be unanticipated adverse
14 effects due to antiangiogenesis such as the inhibition
15 of collateral formation in coronary artery disease or
16 the delay of wound healing after surgery.

17 In summary, we wish to emphasize to the
18 Committee that Paxene's effects include the inhibition
19 of angiogenesis. This lends validity to its use for
20 treating Kaposi's sarcoma, opens up new avenues and
21 potential applications of this drug, and it shows that
22 this drug merits further specific examination for its
23 effects as an antiangiogenic agent.

24 Thank you.

25 CHAIRPERSON DUTCHER: Thank you very much .

1 Is there anyone else in the audience who wishes to
2 speak at the open public hearing at this time? [No
3 response.]

4 Then we are going to move ahead with the
5 applicant's presentation and I believe we have some
6 handouts at this time. I hope. Okay.

7 This is a discussion of NDA 20-826, Paxene
8 indicated for failure of first line or subsequent
9 systemic chemotherapy for the treatment of advanced
10 AIDS-related Kaposi's sarcoma. Dr. John Howes is
11 going to begin the presentation.

12 DR. HOWES: Ladies and gentlemen, members
13 of ODAC, good morning. I'm John Howes with the
14 Regulatory Affairs Department of Baker-Norton
15 Pharmaceuticals. Today we will present data to
16 support the use of Paxene for the treatment of
17 advanced AIDS related Kaposi's sarcoma in patients who
18 failed first line and subsequent systemic
19 chemotherapy.

20 Regrettably, Dr. Jerome Groopman, who was
21 scheduled to be the opening speaker, is unable to
22 attend the meeting today. In his place on the agenda
23 will be Dr. Samuel Broder, Senior Vice President for
24 Research and Development at Ivax Baker-Norton
25 Corporation.

1 Since we do have a rather full agenda
2 today, I will now pass the podium to Dr. Broder.

3 DR. BRODER: Thank you very much .
4 Kaposi's sarcoma is an angioproliferative tumor
5 characterized historically by endothelial and spindle
6 cell proliferation, angiogenesis, inflammatory cell
7 infiltration, and edema. In 1994, a new herpes virus ,
8 HHV-8 or KSHV, was discovered and found to be closely
9 associated with this tumor and may play a role in its
10 pathogenesis.

11 This tumor is one of the hallmarks of
12 AIDS. Slide 1 please. The inter-relationship between
13 immunodeficiency diseases and cancer generally, and
14 between AIDS and Kaposi's sarcoma specifically, has
15 been a very high priority of the National Cancer
16 Institute and its viral cancer programs.

17 Clinical research done at the Institute
18 suggested that Kaposi's sarcoma is sensitive to
19 paclitaxel, a natural product originally derived from
20 the pacific yew. This line of work is an extension of
21 about 30 years of research on paclitaxel by the
22 National Cancer Institute.

23 Next slide please. Paclitaxel, of course ,
24 has effects on tubulin and the state of tubulin
25 polymerization. But perhaps even more interesting, as

1 we heard in part, are newly described mechanisms of
2 action for this agent. Paclitaxel inhibits
3 angiogenesis and induces apoptosis by Bcl-2
4 phosphorylation triggered by Raf-1 activation. It is
5 possible that these new mechanisms may be induced by
6 lower plasma concentrations of paclitaxel than the
7 effects on the microtubule system.

8 AIDS-related Kaposi's sarcoma frequently
9 can be an aggressive disease, often with extensive
10 cutaneous lesions, but also involvement of the oral
11 cavity and visceral organs. AIDS-related KS can be
12 complicated by lymphedema. Could I have the next
13 slide please? And this may involve the extremities,
14 the face or the genitalia.

15 Gastro-intestinal lesions may cause
16 bleeding, pain and obstruction and pulmonary lesions
17 may be associated with respiratory insufficiency or
18 death. Even in the absence of symptomatic visceral
19 disease or edema, Kaposi's sarcoma may have a serious
20 impact on quality of life by causing disfigurement
21 and social isolation or by serving as a visual
22 reminder of an AIDS diagnosis.

23 When Kaposi's sarcoma lesions can be
24 covered or obscured by clothing, a patient's
25 recognition that lesions are growing progressing is

1 still a serious medical challenge.

2 Next slide please. Although milder forms
3 of Kaposi's sarcoma in the context of AIDS with slow
4 progression or without life threatening viscera l
5 involvement can be treated with local or intralesiona l
6 therapies, the more serious, advanced forms, if left
7 untreated, do not spontaneously resolve as a general
8 rule, and require cytotoxic chemotherapy.

9 We believe that Kaposi's sarcoma and the
10 therapeutic challenges that this disease forces upon
11 us will remain an important problem, notwithstanding
12 the formidable advances that have been made i n
13 treating retro-viral diseases.

14 As is true in virtually all of oncology,
15 the status of prior chemotherapy is an importan t
16 consideration. Efficacy results with patients naive
17 to chemotherapy should generally not be pooled wit h
18 results in second or third-line therapy.

19 Since the early 1990s, the ABV regimen ,
20 which consists of dixorubicin, bleomycin an d
21 vincristine, has been considered the standard of care .
22 In evaluating individuals or in making comparison s
23 between clinical trials, it is important to kno w
24 whether the patients have been previously treated wit h
25 doxorubicin. Moreover, in the past two years ,

1 liposomal anthracyclines have been introduced, but for
2 a variety of reasons, it is important not to lump
3 these two therapies together indiscriminately.

4 Next slide please. DaunoXome, that is
5 liposomal daunorubicin, was approved as first-line
6 treatment based on a prospective randomized trial
7 comparing DaunoXome to ABV. Although response rates
8 were similar, 23 percent for DaunoXome and 30 percent
9 for ABV, there was significantly less alopecia and
10 neuropathy in the setting of DaunoXome.

11 Next slide please. Doxil, that is
12 liposomal doxorubicin, was approved as second-line
13 treatment of advanced AIDS Kaposi's sarcoma based on
14 a 27 percent response rate in 34 evaluable patients.

15 By contrast, the response rates reported
16 for paclitaxel, some of which we will discuss later,
17 for second-line treatment of Kaposi's sarcoma have
18 been higher. And this was in part discussed at the
19 Advisory Committee immediately preceding this current
20 meeting in the June ODAC meeting.

21 For safety purposes, it is probably wise
22 to use all available patients. But paclitaxel is not
23 an exception to the rule that for efficacy purposes it
24 is important not to pool first and second-line patient
25 data.

1 Also, because of the non-linearity o f
2 paclitaxel pharmacokinetics, c aution is in order when
3 one extrapolates from one dosing level or apparen t
4 dose-intensity to another. We will touch upon these
5 points in our presentation.

6 Next slide please. We believe that Paxen e
7 makes an important contributio n to the knowledge base
8 for paclitaxel in second-line AIDS related Kaposi' s
9 sarcoma. Our study included advanced patients wh o
10 frequently had failed second-line or third-lin e
11 treatments. Specifically, many of the patients were
12 Doxil failures.

13 Another major point is that the stud y
14 presented today is the first prospective multicenter
15 study of paclitaxel in advance d Kaposi's sarcoma, and
16 as such may give a more realistic estimate o f
17 community based results.

18 We will also touch upon the concept that
19 perhaps in this tumor more than most there is a n
20 element of observer's subjectivity in makin g
21 determinations of response.

22 We will also provide important informatio n
23 on pharmacokinetics as well as information on co -
24 administration with protease inhibitors. We believe
25 the latter is a very important set of information in

1 that now there is a nearly universal use of thi s
2 category of antiretroviral agent.

3 We believe that much of the informatio n
4 that will be presented today is unavailable in an y
5 other form. For prescribers it is important to have
6 as much empirical data as possible on both th e
7 positive features and the limitation of paclitaxel.

8 Finally, we wish to thank the Chair an d
9 the FDA and the members of this Committee fo r
10 permitting some of the patients who participated i n
11 this study to speak here today at the conclusion o f
12 our scientific presentation.

13 All clinical progress depends on th e
14 willingness and courage of patients to enter studies
15 on the safety and efficacy of new drugs.

16 Members of the Committee, members of the
17 audience, thank you very much. I now would like t o
18 turn the podium over to Dr. Gi ll who is the principal
19 investigator of this study and he will provide some o f
20 the data related to efficacy results. Dr. Gill.

21 DR. GILL: Good morning. Can you go t o
22 the next slide. This Paxene study was conducted i n
23 patients with advanced Kaposi's sarcoma. It was a
24 prospective phase II trial in patients who had failed
25 prior systemic cytotoxic chemo therapy. The trial was

1 conducted in nine U.S. sites and patients were
2 enrolled between January '96 and April of '97.

3 Patients were eligible for this trial if
4 they had advanced disease defined by one or more of
5 the following criterias: multiple cutaneous lesions,
6 presence of visceral disease or symptomatic
7 lymphoedema. Other eligible criterias included
8 failure of prior cytotoxic chemotherapy. Patients
9 were required to have KPS of 60 or above. And the use
10 of concomitant antiretroviral agents, including
11 protease inhibitors, were allowed.

12 Primary study end points included best
13 response and time to progression. And secondary end
14 points were change in symptom distress scale and
15 Karnofsky performance status. Paxene Pharmacokinetics
16 were also performed in a subset of the patients and
17 these data will be presented by Dr. Ken Duchin.

18 The response criteria used in this trial
19 were those defined and used by ACTG-Oncology committee
20 for the past several years. Complete and partial
21 responses were required to be maintained for at least
22 28 days.

23 The treatment regimen consisted of Paxene
24 given at a dose of 100 milligram per meter square
25 over three hours every two weeks after premedication

1 with dexamethasone, cimetidine and diphenhydramine .
2 One dose reduction was allowed to 75 milligrams for
3 toxicity. In the event of more severe toxicity ,
4 treatment was withheld until recovery. Use of G-CSF
5 for treatment of neutropenia was also permitted.

6 Eighty nine patients were enrolled in
7 these nine sites through April of 1997 and two large
8 accrual centers represent Boston and Los Angeles.

9 The patient demographics are outlined
10 here. The median CD4 count was low at 40 and a
11 majority of the patients had Karnofsky performance
12 status between 70 and 80, 61 percent.

13 Antiretroviral therapy was taken by 71
14 percent of the patients at study entry, this included
15 use of protease inhibitors in 33 patients. In
16 addition, a third of the patients were receiving
17 therapy for CMV infection and 30 percent of the
18 patients were receiving G-CSF.

19 The tumor assessment at baseline showed
20 mucocutaneous disease in all but two patients, facial
21 disease in 42 patients, and oral disease in 40
22 percent. Tumor associated edema was also observed in
23 nearly half of the patients and visceral disease was
24 present in 42 percent. Pulmonary involvement was the
25 most common site of visceral involvement.

1 TIS staging system has been developed for
2 prognostic prediction for this disease and this
3 accounts for three different areas, tumor burden ,
4 immune status and systemic illness. Poor prognostic
5 features for these include tumor associated edema ,
6 visceral involvement and extensive oral disease ,
7 immune status of CD-4 being less than 200 and the
8 prior symptoms of opportunistic infections and the
9 past history of these symptoms of low performance
10 status.

11 Next slide please. Utilizing these TIS
12 staging criteria, in this study two or more of these
13 poor prognostic features were present in 90 percent of
14 the cases.

15 All patients had received prior cytotoxic
16 chemotherapy. Over a third of the patients had
17 received two or three prior cytotoxic chemotherapy
18 regimens. Among these patients, 46 percent had
19 received liposomal daunorubicin and 30 percent had
20 received liposomal doxorubicin.

21 A median of eight cycles of Paxene was
22 administered with a range of one to 27. Thirty four
23 patients remain on study after receiving ten cycles of
24 therapy. The median dose intensity in this trial was
25 44 milligram per meter squared per week.

1 Response rates were assessed b y intent to
2 treat analysis. Complete and partial responses were
3 observed in 46 percent with 95 percent confidenc e
4 interval of 41 to 62. These data represent th e
5 independent review by Dr. Kaplan who was not a n
6 investigator in this trial.

7 This is a representative example o f
8 responding patients. A patient with advance d
9 cutaneous disease and extensive edema which wa s
10 associated with pain and required use of crutche s
11 showed marked improvement after 19 cycles.

12 Looking at the impact of prior therapy an d
13 outcome, patients who received one prior regimen had
14 a response rate of 47 percent compared to 41 percent
15 for those who received two or three prior regimens.

16 The response rates in those who received
17 prior liposomal daunorubicin o r liposomal doxorubicin
18 were 51 percent and 33 percent respectively.

19 The impact of protease inhibitor use was
20 also examined. Twenty nine patients did not receive
21 any protease inhibitors during the trial. Th e
22 response rate of 41 percent in this subgroup compared
23 to the overall response rate of 46 percent suggest s
24 that protease inhibitors may not have a significan t
25 impact on the possibility or probability of response

1 outcome.

2 The median time to response in thi s
3 patient population was 49 days. And the duration of
4 response which was calculated from initiation o f
5 treatment has not been reached and would be in excess
6 of 306 days.

7 Time to treatment failure for the stud y
8 population was 234 days.

9 I would now ask Dr. Harriman from Baker-
10 Norton to conduct the remainder of the presentation.
11 Thank you.

12 DR. HARRIMAN: Thank you, Dr. Gill. Good
13 morning ladies and gentlemen, members of ODAC an d
14 guests. My name is Gregory Harriman and I'm wit h
15 Baker-Norton Pharmaceuticals. Before beginning m y
16 presentation, I would like to have Dr. Ken Duchin fro m
17 Baker-Norton get up and give a brief presentation of
18 the pharmacokinetic studies.

19 DR. DUCHIN: Good morning. Thank you ver y
20 much. We present data today on the pharmacokinetics
21 of paclitaxel in AIDS KS patients in the study jus t
22 described by Dr. Gill. It must be recognized tha t
23 these studies were very difficult to conduct given the
24 demands on the patients' time and we are very gratefu l
25 to the patients who participated in thi s

1 pharmacokinetic study.

2 Eleven patients from one site volunteered
3 for pharmacokinetic sampling. These patients were
4 taking four to 20 concomitant medications, which
5 included one or more reverse transcriptase inhibitors,
6 imidazole antifungal and the protease inhibitor
7 indinavir. The protease inhibitors are of particular
8 interest because paclitaxel and protease inhibitors
9 are metabolized by cytochrome P453A and almost all of
10 the marketed protease inhibitors carry a warning in
11 their product label of potential interactions with
12 concomitant medications that also utilize this
13 metabolic pathway.

14 Serial plasma sampling, which involved
15 about 20 samples per patient, occurred over 51 hours
16 during and after the three hour infusion of Paxene on
17 one of the cycles.

18 Nine patients were studied on one cycle
19 and two patients were studied twice on two consecutive
20 cycles.

21 The next slide shows the mean plasma
22 concentration time curve for paclitaxel in the nine
23 patients who were studied on one cycle.

24 Mean pharmacokinetic parameters are shown
25 in this slide. I wish to point out that peak plasma

1 concentrations (C_{max}) averaged 1100 nanogram per mil
2 or about 1.3 micromole and body clearance average d
3 approximately 27 liters per hour per meter squared.

4 A comparison of some of the
5 pharmacokinetic parameters obtained at the dose of 100
6 milligrams per meter squared was made using a weighted
7 analysis to values obtained from other Paxene studies
8 in 37 patients with solid tumors who received a higher
9 dose, 175 milligram per meter squared.

10 As noted on the left hand side of the
11 slide, a 75 percent increase in administered dose was
12 accompanied by much greater increases in peak plasma
13 paclitaxel levels and in areas under the curve to the
14 last detectable concentration and to infinity. The
15 dash line would be the expected increase in these
16 parameters if the drug obeyed linear kinetics. These
17 data demonstrate the nonlinearity of the
18 pharmacokinetics of paclitaxel over the range of 100
19 to 175 milligram per meter squared.

20 We also evaluated the pharmacokinetics of
21 Paxene in those patients taking indinavir and those
22 who did not. As noted here, there were no differences
23 in the average values for C_{max}, body clearance, lim
24 distribution or elimination half life between these
25 two groups.

1 In another two patients, paclitaxel
2 kinetics were obtained on two consecutive cycles, one
3 in the absence of indinavir and the second after two
4 weeks of indinavir therapy. As shown here, the plasma
5 levels of paclitaxel were similar with and without
6 indinavir, confirming that indinavir does not alter
7 the disposition of paclitaxel.

8 Imidazole antifungal agents are known to
9 inhibit cytochrome P450 enzymes and it was of interest
10 to assess whether those patients taking imidazole
11 antifungal, primarily fluconazole, had greater
12 exposure to paclitaxel.

13 On this slide it is clear that there was
14 no indication that patients taking antifungal had
15 higher C_{max} values or reduced clearance values
16 compared to those not taking these drugs.

17 In conclusion, these studies define for
18 the first time the pharmacokinetics of paclitaxel in
19 AIDS KS patients taking multiple HIV therapies.
20 Paclitaxel displays nonlinear pharmacokinetics over
21 the range of 100 to 175 milligram per meter squared
22 when administered over three hours and there was no
23 appreciable interaction between paclitaxel and
24 indinavir or the imidazole antifungal agents. Thank
25 you.

1 Now I would like to ask Dr. Harriman t o
2 come back to the podium.

3 DR. HARRIMAN: First, I would like t o
4 summarize study results relating to quality of lif e
5 and patient benefit. Then I will review the safet y
6 results, including the safety of Paxene in patients on
7 protease inhibitors. Finally, I will provide som e
8 conclusions regarding the efficacy of Paxene in th e
9 treatment of patients with advanced AIDS KS who have
10 failed prior cytotoxic chemotherapy.

11 In this context, failed refers to patient s
12 who progressed on or were intolerant of th e
13 chemotherapy. In many cases, these patients hav e
14 failed more than one cytotoxic chemotherapy regimen,
15 including Doxil. Such patients are an important grou p
16 for whom the identification of effective treatment ca n
17 be challenging.

18 Quality of life was assessed by a
19 prospectively-obtained patient-administered Sympto m
20 Distress Scale as well as by Karnofsky Performanc e
21 Status and photographs. The Symptom Distress Scal e
22 contains 15 questions related to overall well-being,
23 for example, outlook, concentration and fatigue; a s
24 well as disease-related symptoms, for example ,
25 appearance, pain, mobility and breathing.

1 Each question uses a five-point Likert -
2 type format in which a score of one is the bes t
3 possible score, meaning no distress, and a score o f
4 five is the worst possible score, meaning sever e
5 distress. The Symptom Distress Scale was to b e
6 administered at baseline and every third cycle .
7 Internal consistency and test-retest reliabilit y
8 estimates have indicated the scale is reliable and the
9 scale has been previously validated.

10 Karnofsky Performance Status was to b e
11 assessed at baseline and each cycle. Photographs of
12 marker lesions and other involved areas were to b e
13 obtained at baseline and every six weeks.

14 Shown here is the median total score o f
15 all 15 questions for patients at baseline and cycles
16 four, seven and ten. There was a highly statist icall y
17 significant improvement in the median score at cycles
18 four, seven and ten. Very few patients were los t
19 between baseline and cycle four, indicating that the
20 improvement seen at cycle four , at least, is unlikely
21 due to bias.

22 Assessment of tumor responses can b e
23 difficult and open to a certain amount o f
24 interpretation, as Dr. Broder mentioned before. Thus ,
25 it is possible for a patient to not be scored a s

1 having a tumor response, despite having clear evidenc e
2 of clinical benefit.

3 Shown here is a patient previously treate d
4 with Doxil. He had extensive involvement of his foot
5 with tumor and a large ulcer. The patient wa s
6 informed that he might have to have his foo t
7 amputated. Following treatment with Paxene, th e
8 patient had a very significant improvement in th e
9 tumor and ulcer on his foot. This patient was no t
10 scored as having a tumor response in this protocol ,
11 although he clearly benefitted from his treatment .
12 This patient and others are with us today and the y
13 hope to have an opportunity to tell us about thei r
14 experience with Paxene.

15 This patient had extensive les ions of his
16 gums. He also had a very seve re lesion on his chest.
17 While there were some differences of opinion as t o
18 whether he was a responder, he clearly has ha d
19 improvement in his disease.

20 Shown here are median scores in patients
21 with facial lesions for questions relating to th e
22 patients appearance at baseline and cycles four, seve n
23 and ten. There was a statistically significan t
24 improvement in this score at cycles four, seven an d
25 ten. Again, few patients were lost between baseline

1 and cycle four, indicating that the improvement at
2 cycle four, at least, was unlikely due to bias.

3 As can be seen, this patient had severe
4 disfiguring lesions and edema on his face. With
5 treatment, he had a marked improvement in the lesions
6 and edema.

7 This slide shows improvement in symptoms
8 such as pain and mobility related to lymphedema.
9 Again, there was a statistically significant
10 improvement in these symptoms at cycle four. While
11 improvement continued at cycles seven and ten, it was
12 no longer statistically significant.

13 This patient had marked lymphedema in his
14 right leg which responded well to treatment, with
15 maintained improvement to cycle 13 as shown here.

16 This patient had severely crusted lesions
17 with significant lymphedema in his left lower
18 extremity. The lymphedema showed definite improvement
19 at cycle three of treatment.

20 This slide shows improvement in symptoms
21 related to pulmonary disease which include breathing
22 and cough. A statistically significant improvement in
23 the median score was seen at cycles four and seven.
24 Although a similar magnitude of improvement was seen
25 at cycle ten, this was not statistically significant.

1 This patient had severe pulmonary
2 involvement and had previously been treated with both
3 DaunoXome and Doxil. Of note, he was on oxygen prior
4 to treatment, but was able to discontinue this
5 treatment following the Paxene treatment.

6 This patient also had pulmonary
7 involvement. At cycle 13 of treatment, pulmonary
8 lesions were significantly improved, as demonstrated by
9 a decrease in one of the pulmonary lesions seen on
10 this cut of the CT scan. Free study and cycle 13.

11 Forty-six percent of patients had
12 improvement in their Karnofsky Performance Status
13 during treatment. The improvement seen was
14 statistically significant. The majority of remaining
15 patients had no change in their Karnofsky status and
16 a few patients had worsening.

17 Thus, improvement in quality of life was
18 seen in patients treated with Paxene as judged by
19 improvement in symptoms, by Karnofsky Performance
20 Status and by photographic improvement.

21 With regard to safety, frequent
22 hematologic and non-hematologic adverse events
23 occurring in the 89 patients are summarized here. The
24 major toxicities were hematologic, including
25 neutropenia and anemia. Other frequently occurring

1 adverse events included asthenia, alopecia, nausea a
2 and/or vomiting, arthralgis and myalgias, peripheral
3 neuropathy and rash.

4 Adverse events were also analyzed b y
5 whether or not patients were on protease inhibitors a s
6 shown on this slide. There was little difference in
7 the incidence of adverse events between the two group s
8 of patients and none of the differences wer e
9 statistically significant.

10 There were a total of 70 opportunisti c
11 infections in 30 patients during study representing 3 4
12 percent of patients. Of these opportunisti c
13 infections, 17 which involved mycobacteria ,
14 pneumocystic, cryptococcus and CMV would be considere d
15 serious.

16 There were 11 deaths which occ urred while
17 patients were on study. Of these 11 deaths, th e
18 investigators felt four were related to Paxene. Three
19 of these patients of sepsis with associate d
20 neutropenia and one patient died of congestive heart
21 failure due to pulmonary hypertension.

22 We also have substantial safet y data with
23 Paxene using different doses and schedules in patient s
24 who have other forms of cancer. Shown here ar e
25 adverse events, which were included in the NDA, on no t

1 only AIDS-KS patients, but an additional 226 patients
2 who received Paxene at either 140 milligrams per meter
3 squared over 96 hours or 175 milligrams per meter
4 squared over three hours. Again, the major toxicities
5 were hematologic.

6 Next slide. However, alopecia,
7 arthralgia/myalgia and peripheral neuropathy were also
8 fairly common, although severe grades of these
9 toxicities were not common. Hypersensitivity
10 reactions were also relatively uncommon. We currently
11 have safety data on a total of over 500 patients.

12 In summary, while AIDS-KS patients are
13 potentially at increased risk because of their
14 underlying disease and multiple concomitant
15 medications, no unusual or unexpected toxicities were
16 observed in AIDS-KS patients treated with Paxene.

17 Now, I would like to summarize the data
18 which has been presented by responding to the
19 questions which were addressed by FDA to ODAC. First,
20 Is the Paxene study size of 89 patients adequate for
21 approval of a drug for the use after failure of first
22 line or subsequent systemic chemotherapy for the
23 treatment of AIDS-related Kaposi's sarcoma?

24 To answer this question, this study must
25 be put into perspective with respect to studies which

1 lead to the approval of other drugs for simila r
2 indications. As discussed, the study reported her e
3 was a prospective, multicenter study enrolling 8 9
4 patients, with two geographica lly distinct sites, Los
5 Angeles and Boston, enrolling 25 or more patient s
6 each. It should be kept in mi nd that all 89 patients
7 had failed prior cytotoxic chemotherapy and man y
8 failed two or more cytotoxic chemotherapies. Thus ,
9 these patients, by and large, represent a ver y
10 refractory population.

11 In looking at the study sizes for othe r
12 drugs currently approved for s econd-line treatment of
13 AIDS-KS, there were two studies which were the basis
14 upon which Taxol was approved for this indication .
15 One study, which looked at dose and schedule of 13 5
16 milligrams per meter squared every three weeks ,
17 enrolled 29 patients. However, only 19 of thes e
18 patients had received prior systemic therapy, of whic h
19 only seven evaluable patients had received cytotoxic
20 chemotherapy. Moreover, only four of these ha d
21 received an anthracycline.

22 The second Taxol study used a dose an d
23 schedule of 100 milligrams per meter squared every two
24 weeks. In this study, 56 patients were enrolled .
25 However, only 40 of these pati ents had received prior

1 systemic chemotherapy.

2 The approval of Doxil for second-line
3 therapy in AIDS-KS was based on 77 patients who ha d
4 received prior combination chemotherapy. However ,
5 only 34 of these patients were felt by the FDA to be
6 evaluable.

7 Thus, the Paxene study containing 8 9
8 patients and representing a refractory population of
9 patients, is larger than any other study used t o
10 support approval of a drug for second-line o r
11 subsequent treatment of advanced AIDS-KS.

12 Next slide. The second question was ,
13 "Does the Paxene study show patient benefit based on
14 the 42 percent cutaneous tumor response rate, th e
15 clinical benefits assessments and the quality of life
16 assessments?"

17 As previously discussed, the overall tumo r
18 response rate with Paxene was 46 percent. Patient s
19 had advanced AIDS-KS as demonstrated by the larg e
20 number of patients with disfiguring lesions, tumo r
21 related edema and visceral dis ease. In addition, the
22 vast majority of these patient s were poor risk by TIS
23 staging. Moreover, as mentioned previously, thes e
24 patients were a very refractory population wit h
25 respect to prior cytotoxic chemotherapy.

1 Thus, the 46 percent tumor response rate
2 should be viewed as highly significant. The fact that
3 patients had substantial response rates, even after
4 failing Doxil, which until August 4th of this year was
5 the only approved drug for second-line treatment of
6 advanced AIDS-KS and the significant response rates in
7 patients who have failed two or more prior cytotoxic
8 therapies, should be viewed as evidence of substantial
9 activity.

10 Time to progression and duration of
11 response with Paxene were also substantial given this
12 patient population.

13 Moreover, patients demonstrated
14 improvement in quality of life based upon significant
15 improvement in total Symptom Distress Scale scores, as
16 well as improvement in symptoms related to facial
17 lesions, lymphedema and pulmonary disease. This is
18 the first time that a prospective quality of life
19 assessment containing such a Symptom Distress Scale
20 has been used in AIDS-KS patients. Significant
21 improvements were also seen in Karnofsky Performance
22 Status and evidence of improvement was documented by
23 photographs.

24 In sum, the combination of high tumor
25 response rates, as well as improvements in quality of

1 life measurements, provide substantial evidence i n
2 support of patient benefit.

3 The third question, "Is the Pa xene safety
4 acceptable in view of the efficacy results and result s
5 available with alternative therapy?"

6 Efficacy results were just discussed .
7 With regard to safety, this slide shows the mos t
8 important or most common adverse events with Paxene in
9 comparison to adverse events reported in AIDS-K S
10 patients treated with Taxol and Doxil. The point her e
11 is that Paxene exhibited no higher incidences of any
12 of the toxicities seen with Taxol and in some case s
13 the rate may be lower.

14 As discussed earlier, in this study a
15 substantial amount of safety experience was gaine d
16 with the coadministration of protease inhibitors and
17 Paxene. No significant differences were seen in the
18 rates of major or common adverse events in these two
19 groups of patients. Furthermore, pharmacokineti c
20 studies were performed to assess the effects o f
21 protease inhibitors on the pharmacokinetics o f
22 paclitaxel.

23 Thus, while Paxene has some significan t
24 toxicities, as expected with this cytotoxic drug, it' s
25 safety is no worse and in certain adverse events may

1 be better than Taxol, which is currently approved for
2 second-line treatment of AIDS-KS.

3 The fourth question, "Is the Paxene ND A
4 approvable for the indication of use after failure of
5 first-line or subsequent systemic chemotherapy for the
6 treatment of advanced AIDS-related Kaposi's sarcoma?"

7 Paxene demonstrates a high tumor response
8 rate in patients, all of whom have failed at least one
9 or more cytotoxic chemotherapies. Moreover, the tumor
10 response rate is similar to that of Taxol when used at
11 the same dose and schedule of 100 milligrams per meter
12 squared every two weeks and is higher than that of
13 Doxil.

14 Importantly, Paxene demonstrates
15 substantial tumor response rates even in patients who
16 have failed Doxil. In contrast, only one patient
17 previously receiving Doxil was treated with Taxol in
18 registration-seeking studies.

19 In conclusion, Paxene induces tumor
20 responses as defined by ACTG criteria in 46 percent of
21 patients with advanced AIDS-related KS who had failed
22 first-line or subsequent systemic chemotherapy.
23 Paxene improves quality of life, as assessed by a
24 Symptom Distress Scale and Karnofsky Performance
25 Status. Paxene is also safe in the treatment of AIDS -

1 related KS.

2 Paxene induces tumor responses in 33
3 percent of patients who have failed prior Doxi 1
4 therapy and 41 percent in patients who received a t
5 least two prior cytotoxic chemotherapies. Paxene is
6 safe and effective in patients on concomitant proteas e
7 inhibitors.

8 The proposed indication is Paxene i s
9 indicated after failure of first-line or subsequen t
10 chemotherapy, including liposomal doxorubicin, i n
11 patients with advanced AIDS-re lated Kaposi's sarcoma,
12 and for relief of disease-related symptoms .
13 Coadministration with protease inhibitors does no t
14 diminish the efficacy or alter the side effect profil e
15 of Paxene.

16 I would now like to provide an opportunit y
17 for some of the patients who have been treated wit h
18 Paxene to come up and share their experiences wit h
19 you. Thank you very much.

20 MR. FLETCHER: Good morning, ladies an d
21 gentlemen. My name is Eric Fl etcher. I am not being
22 financially rewarded for being here today. I'm here
23 out of a heartfelt concern.

24 Since I was 15 years old, I have worked a s
25 a fashion model. This allowed me to move away fro m

1 home at 17 to support myself through college and to
2 pay for it and I was a taxpaying citizen where I
3 contributed to society in general. This was until two
4 years ago.

5 In the fall of 1995, I was diagnosed with
6 AIDS. More devastating was the fact that I had
7 Kaposi's sarcoma, KS. After an endoscopy to show that
8 the KS was rampant throughout my insides, after a
9 couple of weeks lesions began to appear all over my
10 body.

11 My world began to collapse. I was 30
12 years old. I relied on my physical appearance as the
13 basis of my existence. This was my means of
14 livelihood. Why was I being tortured? I had been
15 completely healthy all my life. I was a vegetarian.
16 I didn't smoke. I never did drugs or alcohol and I
17 was not promiscuous. I wanted to know why this was
18 happening to me.

19 My doctors immediately started me on
20 chemotherapy. This scared me because I had seen the
21 faces of people on chemo and in my experience those
22 people didn't have a long chance of survival.
23 Reluctantly, I started a clinical trial of Doxozone.
24 I was concerned about hair loss, but I was assured
25 that this would not be a side effect. This made a

1 vain man happy.

2 I remained on the study for about si x
3 months. I experienced nausea, vomiting, sleep loss,
4 loss of appetite, subsequent weight loss and a host o f
5 other problems. My heart infraction rate became too
6 low. I couldn't tolerate the drug any longer. Early
7 in 1996 I had to stop treatments.

8 My doctors decided to start me on ABV. I
9 was told that I would definitely experience hair loss .
10 Around this time I started to experience edema, m y
11 features grew beyond recognition, my lesions gre w
12 worse. They became open ulcer s and wounds. I needed
13 my bandages cleaned and changed three times daily.

14 I went from 170 pounds down to 125 pounds .
15 I couldn't walk. I used a wheelchair because I didn' t
16 have the strength to move, or to bathe, or to even go
17 to the toilet. Obviously the ABV wasn't working.

18 Needless to say, I gave up hope. I
19 reached a low in my life I had never known. I
20 considered suicide. I asked m y primary care provider
21 about assisted suicide. I started to give away m y
22 life souvenirs and treasures. I prepared myself and
23 my loved ones for me death, or they prepared me. The y
24 were so tired of seeing me suf fer that they said that
25 if God was ready and if I wanted to, that I could giv e

1 up.

2 My hopes, my dreams were all gone. I
3 considered myself a monster. I couldn't look at
4 myself in the mirror. KS had taken away my pride, my
5 dignity.

6 In all my misery, however, the one thing
7 that I didn't lose was my spirit. My soul is good and
8 joyously in all my darkness I attracted many wonderful
9 people into my life. Many doctors, nurses and the
10 support system.

11 One of those doctors highly recommended
12 that I try this new protocol. I had no choice. I
13 was either Paxene, ICU or death. At this point, what
14 was there to lose? My hair?

15 I started Paxene in June of 1996 along
16 with a triple antiretroviral protease inhibitor
17 therapy. I cut my hair really short so I wouldn't see
18 it fall out. Surprisingly, my hair never fell out.
19 In actuality, I never experienced any side effects.

20 My doctors told me I wouldn't see the
21 effects of the triple therapy for about three months
22 to a year. However, after my first cycle of Paxene,
23 I began to see and feel a positive difference.

24 I am now up to my 30th cycle. Treatments
25 are every two weeks. My lesions have faded. Many are

1 barely noticeable. My ulcers have healed. I have
2 regained all my weight, plus some. I have regained - -
3 I have my normal energy level. I am even running
4 three miles a day.

5 More remarkably, my appearance has
6 improved so greatly that I am back to work as a
7 fashion model headed for a career in television.

8 Now, here is my plea. Paxene is not
9 political with me. Nor is it a miracle drug. It is
10 simply my life. It may not be a cure for this dreaded
11 disease, but it makes life a whole lot more
12 manageable. It has given me the ability to once again
13 look in the mirror to see what's really there, a
14 person full of life and love and has given me the
15 ability to share that joy.

16 I hope you will immediately approve Paxene
17 so many other people will have a chance to once again
18 have dignity and self worth. But more importantly, as
19 only a person who has seen the face of death will ever
20 know, the true miracle of this drug is its ability to
21 allow one to appreciate every moment that they once
22 again have been granted and to lead a more fulfilling
23 and rewarding life.

24 I greatly urge you to immediately approve
25 Paxene for the treatment of KS . A small company like

1 Baker-Norton cannot survive another couple of years,
2 therefore they will have to discontinue operations and
3 I will no longer have the drug. Ultimately, the
4 promise of my future will be taken away again. Thank
5 you.

6 MR. CAROL: Good morning. My name is
7 Steve Carol and I'm here today at the invitation of
8 Baker-Norton Pharmaceuticals. Although I am being
9 compensated for my expenses, I am here today to invite
10 you to share in my enthusiasm about a discovery I
11 happened upon during this past year.

12 My wife and I were devastated when I was
13 diagnosed with Kaposi's sarcoma in 1993. Further
14 tests confirmed that I was HIV positive. At that
15 time, my doctors followed the approved therapy for KS
16 which began with radiation treatments. Although
17 tolerable, the therapy did little more than slow the
18 progress of the disease.

19 After that treatment came injections of
20 interferon and interleukin 2. Again, that provided to
21 do little to improve my situation. Next came the
22 systemic chemotherapy treatments beginning with ABV,
23 three drugs that were used in different combinations
24 but with limited success. I had little tolerance to
25 the drugs and would have to discontinue the use of

1 them after two or three cycles of each combination.

2 Next came my participation in severa l
3 studies involving the use of liposomal chemotherapies ,
4 including Doxil and Donozone. Once again, m y
5 intolerance to the long-term use of the drugs caused
6 my doctors to discontinue any further treatments.

7 By this time my weight had dropped fro m
8 200 pounds to about 128. My hair was just beginning
9 to return after having been lost to th e
10 chemotherapies. Up to this ti me, my skin lesions had
11 been confined to my feet, legs and arms. But now I
12 had several facial lesions that were drawing muc h
13 attention.

14 Another lesion had ulcerated on the botto m
15 of my foot and had left a very painful opening about
16 the size of a quarter that you could place your littl e
17 finger into up to the first joint. This left me for
18 a year and a half either on cr utches or confined to a
19 wheelchair and unable to work.

20 My doctors told me that there was nothing
21 more that they could do for me and that the only thin g
22 left to consider was the amputation of my right leg.
23 This was not a measure that would stop the cancer, bu t
24 would end the every day threat of infection to a woun d
25 that would not heal.

1 The ulcer was very large and o minous. My
2 wife could not bear to look at it, even from across
3 the room. Special nurses had to come to my home to
4 clean and treat the wound on a daily basis. A one -
5 legged man was sent to our home to talk to us about
6 life after amputation.

7 Not being the kind of person that gives up
8 easily, I found out about Dr. Seville and the study he
9 was conducting at the University of California-Sa n
10 Diego of a new treatment for K S. Although skeptical,
11 I became part of the study and began treatment i n
12 December of 1996.

13 After several cycles I noticed a number of
14 things. First of all, I didn't feel sick to m y
15 stomach all the time. The lesions on my face wer e
16 disappearing and the wound on the bottom of my foo t
17 had begun to improve.

18 I had none of the intolerance to th e
19 treatments that I had previous ly experienced, and for
20 the first time in years, I began to feel good about
21 myself. I no longer woke up a ngr y every morning just
22 because I woke up. I no longe r felt helpless against
23 something that was slowly taking my life. An d
24 although there was some hair loss again, I though t
25 that was a small price to pay for something that was

1 obviously working so well.

2 I can now report to you that I wal k
3 without the use of a cane or crutches and there have
4 been no new lesions to report for many months. Th e
5 tumors that I do have are greatly diminished. And I
6 went back to work last month.

7 I and the others that are appearing befor e
8 you today represent not only o urselves, but thousands
9 of others who suffer from this disease. We depend on
10 governing bodies such as yours elf to help advance the
11 use of such life saving drugs as Paxene and allow us
12 to enjoy the same quality of life that each of yo u
13 enjoy every day.

14 I am here today to ask you to gran t
15 approval to the use of Paxene in the treatment of KS.
16 Thank you.

17 MR. GREEN: Good morning. My name i s
18 David Green and I am a 47 year old executive chef. I
19 tested positive for HIV in 1982 and remaine d
20 asymptomatic until January of '94 at which time I
21 found my first KS lesion on my lower back. In fou r
22 months, I had six lesions on my body.

23 At the time I was living in Sa n Diego and
24 the doctors there said they were not aggressivel y
25 treating KS unless it was presenting a seriou s

1 problem. Mine were not as yet.

2 Over the next year, I developed many more
3 lesions over my torso. In June of '95, several of these
4 lesions became raised and three of them had started to
5 weep. I still had no treatment.

6 By October '95, the dressings on these
7 weeping lesions had to be changed at least three times
8 a day. The lesions were becoming quite tender. I
9 also noticed at this time a slight discoloration on
10 the tip of my nose and a swollen spot on my upper gum.

11 At Scribbs Clinic in San Diego, I saw an
12 infectious disease specialist who sent me for
13 consultations with both radiation and hematology,
14 oncology departments. At that time a lesion was also
15 found on my lung.

16 The recommendation was radiation to slow
17 the growth in my mouth and wait and see on the rest.
18 Also, perhaps I should consider moving back to Boston
19 to be with my family and to get my affairs in order.

20 It took three months to wrap things up in
21 San Diego and get to Boston. In that time the lesion
22 in my mouth grew quite rapidly. Now both my upper and
23 lower gums had turned purple and had grown to
24 completely cover my teeth. My hard palate had also
25 grown and the only way I could eat was to put very

1 small pieces of food in my mouth and try to swallow.

2 It was painful.

3 Another lesion the size of a marble
4 appeared under my right ear and I was now getting
5 short of breath without much exertion.

6 On arrival in Boston, I was referred to
7 Dr. David Skadden at Mass General Hospital. He told
8 me I had a few options. We decided that I would first
9 try Doxil. It had just been approved and he felt that
10 it was the least toxic and a good place to start.

11 After only two treatments, the pain was
12 gone and after six I started to notice some changes in
13 the lesions. They were shrinking. At about three
14 months into treatment I could see the tips of my
15 teeth. The weeping lesions on my torso were beginning
16 to dry up.

17 Slow progress continued until June of '96
18 when I had a breakthrough. One of the lesions on my
19 right thigh had flattened and become -- which had
20 flattened became raised again. It also became quite
21 tender. The lesion on the tip of my nose began to
22 darken as well. However, from the time I started
23 treatment, I had developed no new lesions.

24 It was at this point that Dr. Skadden and
25 I decided I should try -- should join the clinical

1 trial for Paxene. With only one treatment, the raised
2 lesion was again flat and with two the tip of my nose
3 lightened. I really looked forward to going to
4 treatments.

5 The treatments themselves are very easy to
6 tolerate. The worst part is the length of time you
7 spend in the chair. The side effects are minimal. I
8 lost body hair, eyebrows and eyelashes. I do need
9 nupegen to keep my white count out but the dosage has
10 been reduced. The other side effects, hiccups,
11 constipation and heartburn are not due directly to the
12 Paxene, but rather the decadon I'm given as a premed,
13 and they are easily taken care of.

14 I feel so well these days that after
15 receiving treatment I walk a mile and a half to the
16 Boston Living Center where I volunteer. I continue to
17 receive Paxene every two weeks for a year. My mouth
18 is now normal. I still have teeth and most
19 importantly, my sense of taste is still acute. The
20 lesions on my torso are flat and dry and fading. My
21 lungs are clear.

22 In July '97, I went on a three week cycle
23 with continued fading of the lesions. I am now on a
24 four week cycle and the lesions continue to fade.

25 I never thought that I would feel or look

1 so healthy again. There are not enough good things
2 that can be said about Paxene. It's a drug which I
3 believe should be made available to everyone.

4 MR. MOLINA: Hello. My name is Jim
5 Molina. I am not being compensated for being here
6 today. Baker-Norton Pharmaceuticals has paid for my
7 ticket since I was unable to afford one on my own.

8 I was diagnosed HIV positive on April 19,
9 1993. Upon my diagnosis, I asked the doctor if the
10 spot on my left shin had anything to do with the HIV.
11 "Oh, it looks like a little KS, nothing to be alarmed
12 about. We'll just monitor it and see if it changes"
13 he replied.

14 Not knowing what KS was, I figured the
15 doctor knew what was best for me, so I went along with
16 his advice. Later a chest x-ray was requested by my
17 doctor. The x-ray revealed a quarter size lesion in
18 the lower left lung and a cat scan was ordered. This
19 revealed the same results as the x-ray. Next I had a
20 bronchoscopy. The test was inconclusive as the doctor
21 was unable to get to the area of my lung that was in
22 question.

23 So the next move was to try a fine needle
24 biopsy or to remove the lower half left of my lung --
25 the lower left half of my lung. I was uncomfortable

1 with the invasiveness involved in both of these
2 procedures, so I chose to monitor the lesion
3 regularly.

4 Time passed to about March of 1994. It
5 was then that my doctor had pointed out some enlarged
6 lymph nodes on my neck that I had thought had been
7 there forever. My doctor insisted on a biopsy of the
8 lymph node. The biopsy revealed that I had Kaposi's
9 sarcoma in my lymphatic system on April 4, 1994. This
10 news was devastating. I knew what cancer was, but I
11 did not know anybody who had K S. I was still dealing
12 with the HIV diagnosis and trying to come up with a
13 way to break the HIV news to my mother.

14 I was hit with both barrels. I had so
15 much to do. I thought I was going to die and I had to
16 come clean with my mother who had already lost her
17 only other child in an alcohol-related accident. Let
18 me tell you that was one of the most difficult things
19 I ever had to do.

20 I am so fortunate to have the support of
21 my mother and my lover Phil. I don't know how I would
22 have come through all of this without them. Little
23 did I know that was just the tip of the iceberg
24 compared to the battle ahead.

25 Within a period of about six months, my K S

1 had begun to spread. Slowly at first, then all of a
2 sudden it went rampant. I watched as my body changed .
3 First there were only visible lesions. Then I notice d
4 my ankles were beginning to sw ell, then my legs, then
5 I couldn't squat anymore.

6 Now during all these changes the doctors
7 at Kaiser were going through the routine with th e
8 available drug therapies. On September 13, 1995, my
9 oncologist prescribed interferon which I had n o
10 response to. The only thing it did for me was make m e
11 feel like I had the flu after each injection. Tha t
12 lasted for about two months. So my oncologist wanted
13 to try radiation on my groin and upper thighs.

14 I was under the impression the radiation
15 was helping as my skin began t o fall the new skin was
16 unscarred. Little did I know the radiation als o
17 damaging my lymphatic system in my groin area. This
18 was obviously not the answer since swelling in my fee t
19 and ankles began to increase with each day.

20 Then in December of 1995 the oncologis t
21 tried etopacide which was quickly added to the list o f
22 options that were not working. And then vincristine,
23 vinblastine. As time passed, it was March of 1996 an d
24 my doctors at Kaiser had to in form me that there were
25 no other alternatives. They had done all they could

1 for me at Kaiser. What a cold day that was for me.

2 I was suffering, swollen and beginning to
3 lose all use of my legs. I can't even describe to you
4 the mental state that I was in. I still had yet to
5 meet another person who was going through this. I
6 began to hide from the public, so aware of my lesions
7 and their ugliness. I was ready to give up. I became
8 obsessed with my death and how it was going to happen
9 and at that time I feared death. I was left to lay on
10 the couch in constant pain, just waiting, waiting to
11 die.

12 I had a lot of time to think and in my
13 thinking I began to pray for the strength to get me
14 through each day and the guidance to get me to someone
15 who could help me or even relate to this new disease
16 that was changing me in so many ways.

17 Then on April 15, 1996, my prayers were
18 answered. The latest addition of Positive Living had
19 an article on the cover about KS. This was the first
20 instance where I saw anything related specifically to
21 Kaposi's sarcoma. I read furiously and found myself
22 in the clinical trial section which I had never paid
23 attention to before. And then I realized that I had
24 everything to lose by not opening my eyes to these
25 alternatives.

1 I found only one trial that I thought I
2 was qualified for since I was in such an advance d
3 stage. So I called and spoke with Miki Ilaw Jacobson .
4 She seemed so interested in meeting me. I was happy
5 to have someone respond to me in such a positive way.

6 Miki told me that all of the other drugs
7 I had tried -- they had tried and rejected, wer e
8 nothing in comparison to the current trial for Paxene .
9 She was confident that she cou ld help me and I felt I
10 could trust her from the beginning.

11 I met Miki the following day and I wil l
12 never forget that day. Miki had restored my hope in
13 living. I had to wait two wee ks before I could start
14 treatment and those two weeks proved to be the mos t
15 challenging. It seemed like the KS knew what wa s
16 coming. I began to swell up a nd the new lesions were
17 coming faster than I thought p ossible. It was like a
18 game of beat the clock getting to infusion day.

19 By the time May 8th arrived, my day o f
20 infusion, I had begun to give up. I was so depressed
21 I was pushing the people in my life away to prepar e
22 for my death. It must have taken me 45 minutes to ge t
23 myself from my car to the clinic. I could barely wal k
24 and I had to rest often on that endless journey to th e
25 clinic. But I finally made it and I received my firs t

1 infusion with this new drug, Paxene.

2 The next day after the infusion, I wa s
3 amazed. I woke to legs that w ere relieved of much of
4 the pain and for the first time in a long time my leg s
5 had reduced in size. I could even see the veins in m y
6 feet. I was so happy. I called everyone I knew and
7 I told them of my progress. And so far with eac h
8 subsequent infusion, I continue to get better.

9 There have been times when othe r
10 circumstances have prevented me from getting m y
11 infusion. Every time this occurred, the KS began to
12 bloom again proving to me that I need this therap y
13 continuously.

14 I am so happy to say that I'm feelin g
15 better than I have in over a year. The combination o f
16 Paxene and the new antivirals I am on have changed my
17 once losing battle to a battle worth fighting. I kno w
18 now that I am no longer alone.

19 My suffering has changed to a will t o
20 fight back. Paxene has given me time to reope n
21 relationships with those I once pushed away and I have
22 been given a second chance to live.

23 For me the side effects have been minimal .
24 I began to lose most of my hai r, but suddenly it grew
25 back with a vengeance. I began to have sever e

1 heartburn after infusion, but we've learned that i t
2 can be controlled with prozac. I also get the hiccup s
3 after infusion, but that I can deal with myself.

4 I would like to thank Baker-Norton, Dr .
5 Parkash Gill and Miki Ilaw Jac obson for their support
6 and all they have done for me to help me in my fight
7 against KS. I honestly believ e that without them and
8 my loved ones, I would not be here today to offer my
9 testimonial.

10 So I and my family urge you to approv e
11 Paxene, not only for use in people with KS but fo r
12 their loved ones as well. Thank you.

13 CHAIRPERSON DUTCHER: Thank you. W e
14 certainly do appreciate the input from the patients.
15 You must release this was on the time that wa s
16 allotted to Baker-Norton. How many more speakers do
17 you have? Because you have reached your time limit .
18 One more?

19 [Brief discussion off mike.]

20 CHAIRPERSON DUTCHER: Can we finish i n
21 five minutes? Okay.

22 MR. GRAY: Good morning. I ha ve prepared
23 quite an extensive presentation, but I will make i t
24 short. My name is Gavin Douglas Gray and I am here,
25 and my expenses are being paid by Baker-Norto n

1 Pharmaceuticals.

2 In December of 1992, I had a medica l
3 examination and went back to find out that I was i n
4 fact HIV positive. I dealt with the situation as bes t
5 as I could and as one best can given the facts.

6 A year and a half later I was diagnose d
7 with AIDS-related Kaposi's sarcoma and was forced to
8 quit my job and go on disability and began receiving
9 chemotherapy treatments with ABV which failed me afte r
10 a couple of months. I went on to interferon an d
11 failed on that and went on to Doxil and remained o n
12 that for about six months. After 48 treatments o f
13 Doxil, my KS condition advanced to an even mor e
14 malignant stage and I was at that point 25 pound s
15 underweight, emotionally depleted with very littl e
16 hope and as many others have s aid, just looking to my
17 death as the last solution to my situation.

18 I was put on Donozone and I did no t
19 respond to that, and I heard about Paxene through a
20 friend whom I did not recognize at the time because h e
21 looked so wonderful. He looked like a whole ne w
22 person.

23 I went on to try Paxene reluctantl y
24 because what else was I going to do? Try it or b e
25 done with it. I had an incredible response to Paxene .

1 My lesions started to disappear. The pain went away.
2 I was able to eat again and started to get my level of
3 energy back to normal and today you are looking at a
4 completely different man than I was prior to Paxene.

5 I ask all of you that if this drug has
6 brought me back from the edge of my grave, then it
7 should also be allowed to help many others who cannot
8 make it to a parochial study, who are in rural areas
9 of this country that should be receiving it. Approval
10 of it is a must for them. It's in your hands to
11 restore hope and to give back the life that many of
12 those people once had like I once did.

13 I am grateful for this drug. I highly
14 recommend it. And it's much, much more tolerable than
15 any of the other drugs that I tried. And it works
16 unlike any of the others. Thank you.

17 MR. BETTS: Hello. My name is Michael
18 Betts. I'm a California resident currently receiving
19 Paxene treatment in combination with protease
20 inhibitor treatment. My travel expenses have been
21 paid for by Baker-Norton Pharmaceuticals Inc. That's
22 the only compensation that I am receiving.

23 I am here today to urge your approval of
24 Paxene as a chemotherapy treatment against Kaposi's
25 sarcoma and last year around this time I was actually

1 planning a funeral. I wasn't sure I was going to make
2 it. But I feel much better now.

3 To my knowledge, I've been HIV positive
4 for approximately seven years and in April 1996, after
5 noticing an irregular swelling in my right ankle, I
6 was diagnosed with KS. Between the months of April
7 and July of 1996, the swelling increased from being
8 just my ankle to my entire right leg. I am a fairly
9 active person. I run and exercise quite a bit. And
10 I was really disturbed by this reduction in my
11 personal mobility.

12 Along with the swelling caused by the
13 lymphedema, I had no energy, I had heat that kind of
14 emanated from my leg and I had bumps that secreted an
15 oozing pus almost constantly. I noticed that when my
16 stress level increased or when I had an increase in
17 physical activity during the course of the day, the
18 swelling was more pronounced. It was painful even to
19 wear socks.

20 My leg felt as though it was going to
21 explode from the pressure and it felt like it was
22 filling up with a fluid that was just going to burst
23 out of me at some point.

24 I was bloated most of the time and
25 uncomfortable. And on one occasion, my leg enlarged

1 so much during the course of the day that I couldn't
2 take my pants and my boots off . I had to go to sleep
3 that way until my leg went down. I had a lot o f
4 difficulty bending at both my knee and my ankle.

5 During the same period, my skin becam e
6 blotched and the swelling was noticeable through m y
7 clothing. The evidence of my conspicuous appearance
8 and medical condition made me feel depressed an d
9 reclusive. I remember one rea lly important event. I
10 went to the supermarket one day and a woman and he r
11 child followed me through the entire market trying to
12 guess what kind of affliction I had, what was causing
13 my leg to be so big that they could notice it through
14 my clothes. And it caused me to isolate myself.

15 I was so isolated and withdrawn that I
16 completely stopped attending family functions. I
17 stopped doing anything that re quired being in public.
18 And my neighbors gave me the nickname the "vampire "
19 because I only did things at night.

20 There is a level of humor I think you hav e
21 to retain in order to survive an illness and it s
22 treatment. But when my body started to change agains t
23 my will, it was devastating. I had lost control. I
24 had to question whether I could walk to the store ,
25 whether I'd wear short pants, whether I could take th e

1 stairs and the insensitivity o f other people. I kept
2 a strong exterior, but I was withdrawing.

3 Initially, I was introduced to Donazol as
4 a chemotherapy treatment, but it wasn't effective for
5 me. And in November 1996, I had my first chemotherap y
6 treatment with Paxene. Since that first treatmen t
7 I've experienced minimal side effects. The sid e
8 effects I had included hair lo ss, numbness in my toes
9 and hands, dry mouth, hiccups, sleeplessness. Bu t
10 then I also get a real good burst of energy the da y
11 after, so that's great.

12

13 In contrast to the side effect s, I've had
14 Paxene therapy every two weeks for the last te n
15 months, and have had great improvement in m y
16 condition. My leg is almost back to its normal size
17 and I have periodic swelling only as a result o f
18 excessive exertion. The KS has not spread and I'v e
19 been told that the discoloration in my skin wil l
20 correct itself in time.

21 I'm energetic and my quality of life has
22 greatly improved. I feel more like myself than I hav e
23 in the last two years. I walk my 90 pound dog two or
24 three times a day. I still work full time. I wor k
25 out with weights and I have a shameless appetite. I

1 eat everything.

2 I have begun again to think about the
3 future and thoughts about a job, hobbies, changing my
4 job, hobbies and it's been great. It's true that I'm
5 not as able bodied as I was two years ago and I hope
6 for that, but I'm not dead either. And I would gladly
7 accept the minimal side effects which are lessening
8 all the time to the alternative.

9 I'm not so terminally ill that joy is
10 gone. I have hope. I'm a living and breathing
11 testament that medical strides are being made against
12 this villain that we call HIV.

13 There is nothing worse than feeling like
14 your body is at war with itself and Paxene
15 chemotherapy had made me feel like the calvary really
16 is coming. I strongly support the approval of the use
17 of Paxene by the Food and Drug Administration so that
18 its benefits can reach others in need. Thank you.

19 DR. HARRIMAN: We very much appreciate
20 ODAC providing an opportunity for patients to present
21 their stories. That concludes our presentation and we
22 will be happy to answer any questions.

23 CHAIRPERSON DUTCHER: Thank you and thank
24 again to the patients that came to present their
25 stories. The Committee really does appreciate you

1 comments and your input.

2 We now have time for members of the
3 Committee to ask questions of the sponsor. Who would
4 like to begin? Would consultants like to start? Dr.
5 Swain?

6 DR. SWAIN: Could you just discuss the
7 concomitant use of the protease inhibitors and the
8 timing with your study and the patients and if that
9 had any effect on responses that you saw.

10 DR. HARRIMAN: Right. We had 32 -- sorry ,
11 33 patients who were on protease inhibitors at the
12 start of their treatment with Paxene. We had a total
13 of 62 patients who were on protease inhibitors at some
14 time during their treatment with Paxene. Those -- the
15 other patients, other than the 33 that were on at the
16 start of therapy, were begun on protease inhibitors at
17 some time during their treatment with Paxene. We had
18 another 27 patients who were not on protease
19 inhibitors at any time during their treatment with
20 Paxene.

21 If I could have, if I could have back up
22 slide no. 158, please. If you just look at, just
23 break the groups down into just two -- two groups .
24 The patients who never received protease inhibitor at
25 any time and patients who were on protease inhibitors

1 at some time and look at tumor response rates, you can
2 see -- you can see that response rates were about 57
3 percent in patients who were on protease inhibitors
4 and 41 percent in patients who were not on protease
5 inhibitors.

6 I'm sorry. These patients were on
7 protease inhibitors during the entire ten cycles of
8 treatment. So this excludes patients that were
9 started on protease inhibitors after they were begun
10 on the protocol. And these patients were patients
11 that were never on protease inhibitors at any time.

12 So if you look at those two groups of
13 patients, you can see that response rates were roughly
14 comparable. And I think that suggests probably that
15 at least in this situation, the Paxene is able to
16 induce tumor response rates of similar magnitude
17 regardless of whether patients were on protease
18 inhibitors.

19 CHAIRPERSON DUTCHER: Could you just
20 comment a little bit about the lymphedema response and
21 how many patients had significant lymphedema and how
22 responses were assessed and the response rate?

23 DR. HARRIMAN: Right. There were a couple
24 of ways in which we tried to assess the effects on
25 lymphedema. One of them I discussed earlier and that

1 is the improvement in symptoms related to lymphedema
2 in which we did see, based upon the Symptom Distress
3 Scale questionnaire, improvements, significant
4 improvements in the patients symptoms related to that .

5 In addition, we had photographs. These
6 investigators were encouraged to take photographs of
7 the patients with lymphedema and try and document any
8 improvements in that. We've shown you some examples
9 of those patients. The completeness with which
10 photographs were taken were not 100 percent so we
11 don't have documentation in every case.

12 The third way in which we tried to assess
13 improvement was by trying to get measurements of
14 circumference of the extremities at baseline and
15 during treatment with Paxene. The -- although we did
16 see, in that situation, what we believed to be some
17 evidence of improvement, there were problems with
18 getting complete measurements on a consistent basis in
19 the patients and we did not feel that the data was
20 complete enough that we could present a meaningful
21 analysis in that regard.

22 DR. JOHNSON: I'd like to ask you to go
23 back to the question Dr. Swain asked regarding
24 protease inhibitors and actually reshow the slide you
25 just showed us. Because I want to be sure I

1 understand. You have a total of 50 patients on that
2 slide.

3 DR. HARRIMAN: Correct.

4 DR. JOHNSON: You had 89 in the study.

5 DR. HARRIMAN: Correct.

6 DR. JOHNSON: So I would conclude from
7 that 39 patients were not on protease inhibitors when
8 they started on Paxene and at some point during the
9 course of receiving Paxene were started on protease
10 inhibitors.

11 DR. HARRIMAN: Yes.

12 DR. JOHNSON: You don't give us the
13 response data of those 39 patients.

14 DR. HARRIMAN: Well, they were included in
15 the previous slide as a group. But let me show -- can
16 you go to the previous slide we showed -- 157 -- no
17 that's not it.

18 DR. CARRIER: I'm Steve Carrier, Director
19 of Biometrics at Baker-Norton. There is a little bit
20 of a competing risk thing going on here with the
21 protease inhibitors start date and the response date
22 for the Paxene. The slide that you showed 21 patients
23 who were on protease inhibitor at the beginning of the
24 study and used protease inhibitors during the entire
25 ten cycles of the study during which by protocol we

1 were determining best response, is a peer group in
2 which we could look at response rates in the presence
3 of protease inhibitor.

4 The other group of 29 did not receive any
5 protease inhibitor during that ten cycles and so we
6 had a fairly peer comparison of response rates in
7 groups that had the only difference being the presence
8 of a protease inhibitor. The additional 39 patients
9 began protease inhibitor at some time during the study
10 or previous to the study, but had not been on protease
11 inhibitor the entire study.

12 So 33 patients began the study with
13 protease inhibitor, but 21 of them continued
14 throughout basically the ten cycles. Others changed,
15 stopped, paused, had breaks, new ones began. And
16 those are problematic as to when to -- to which group
17 do you attribute the response? Do you attribute it to
18 patients who respond early in Paxene and have not yet
19 received protease inhibitor are fairly clear. But ,
20 now you are conditioning your response on having after
21 protease inhibitor introduction on those who were
22 unable to respond prior to the -- and there is no
23 clear answer to that.

24 We have, however, attempted to -- we've
25 had a lot of discussions internally about this as you

1 might guess, to look at this and so we've done some
2 Cox regression analyses with the introduction of
3 protease inhibitor as a time dependent co-variant in
4 this model and we wanted to know whether or not the
5 introduction of protease inhibitor increased or
6 reduced the risk of an outcome variable. And those
7 variables were:

8 time to response;

9 time to progression of the disease where
10 us to follow-up or death without any knowledge of
11 whether the Kaposi's sarcoma had advanced were
12 censored as opposed to counted as events;

13 time to treatment failure where all losses
14 to follow-up, all deaths, and all progressive diseases
15 were counted as events; and

16 mortality survival itself.

17 With the results that for time to response there was
18 no significant effect on the response rate with the
19 introduction of a protease inhibitor relative to not
20 having a protease inhibitor on board.

21 The relative risk was about two with
22 confidence bounds of about .93 to 4.6 having protease
23 inhibitor on board versus not having protease
24 inhibitor on board.

25 For time to progressive disease, we didn't

1 really see a significant effect at all. The relative
2 risk was about 1.1 with confidence bounds of .35 to
3 3.3. However, when we finally get to time to
4 treatment failure, which includes the mortalities now,
5 the relative risk is down to .43 meaning a 57 percent
6 reduction in treatment failure with the introduction
7 of protease inhibitor. Confidence bounds were .232 to
8 .797 and a P value was 0.007.

9 And finally the mortality where I think
10 this is consistent with everybody's expectations, the
11 relative risk is down to .266, the P value associated
12 with is 0.0015 and confidence bounds are 0.23 to 0.80
13 with the risk being reduced by the introduction of
14 protease inhibitor or that -- over not having that
15 protease inhibitor introduced into the patient
16 population.

17 Thank you.

18 DR. JOHNSON: So do I understand from your
19 Cox regression analysis, are you -- did you just tell
20 us that the time to response was better --

21 DR. CARRIER: The time to response was not
22 better. Whether you respond or not was not better.
23 But as you begin to introduce the end points of life,
24 the mortality itself, then the introduction of
25 protease inhibitor reduced the risk of having the

1 negative end point, a prolonged life, prolonged a time
2 to -- before treatment failure occurred.

3 DR. ABOULAFIA: Do you have any
4 information about viral loads on these patients who
5 were recruited in these studies?

6 DR. HARRIMAN: Yes, as part of the
7 protocol design, viral loads were not assessed and
8 that's primarily because the onset of the study was at
9 a time where that was being done less routinely. We
10 do have some sporadic measures of viral loads and if
11 we could just show some of these. If you could go to
12 -- okay, here is patient 856. This is his viral
13 loads. At pre-study at cycle five and two measurements
14 I guess at different times at cycle six.

15 Can we also see number 187 please. Oh ,
16 I'm sorry, here is another one , a patient whose viral
17 loads were done at pre-study cycle nine and cycle 14.
18 And 190, and here is another patient whose viral loads
19 were done at cycle four, 13 and 16. So that gives you
20 just a very sporadic information about viral loads .
21 But again, that wasn't part of this protocol design
22 and it was -- the protocol was undertaken primarily
23 before these were being done routinely.

24 DR. ABOULAFIA: What would be interesting
25 to know, not so much what the effect of Paxene is on

1 viral loads, but what the response rates are o n
2 patients who have non-detectable loads. Not using a
3 protease inhibitor is a surrogate marker --

4 CHAIRPERSON DUTCHER: Use the microphone.
5 You need to use the microphone.

6 DR. ABOULAFIA: Sorry. What I was saying
7 is it would be interesting to know what the effect ,
8 not of what Paxene is on viral loads per se, but the
9 response rates of patients who had non-detectabl e
10 viral loads versus those who had poorly controlle d
11 viral loads. Do you have any kind of data like that?

12 DR. HARRIMAN: Given the fact that w e
13 really, as I said, have only sporadic measures o f
14 viral loads, we don't have any data that woul d
15 substantively address your question. What I -- just
16 to try and get at it indirectly though, what I would
17 show you, if I could have slide 151 please.

18 One of the points to make in t his is that
19 when patients respond to Paxene, and then this i s
20 basically just a figure that's showing the percent of
21 patients who were responders who responded a t
22 different cycles from zero through nine. And, onc e
23 patients are begun on treatment, there is a fairl y
24 rapid increase in the number o f responders. It turns
25 out the median cycle of response is cycle three.

1 Now this is -- we feel at least some
2 evidence to suggest, given the fact that the patients
3 were often begun on protease inhibitors at various
4 times during treatment, that this very rapid increase
5 in response suggests at least that the response we are
6 seeing is primarily an effect of the paclitaxel, the
7 Paxene itself, rather than at least not -- at least in
8 part due to the Paxene and not entirely due to the
9 introduction of protease inhibitors.

10 Moreover, if I could have slide 15
11 please, this is a graph showing the rate of response
12 in patients who were not using protease inhibitors .
13 And again, you see a pretty rapid response here as the
14 patient receives additional cycles.

15 If I could have the next slide please .
16 And, this is a slide of patients who were using
17 protease inhibitors and I think the two curves are
18 fairly similar and again, I think it's indirect
19 evidence but at least it suggests that the protease
20 inhibitors are certainly not entirely, and we don't
21 feel largely responsible for the responses that we are
22 seeing.

23 DR. MARCO: Can I do two follow-ups on the
24 protease inhibitor questions? One, do you have a
25 breakdown by protease inhibitors? Somebody on hand

1 cap sequenivere monotherapy ve rsus somebody on triple
2 therapy with indinavir is goin g to be different. So,
3 if you could show us that.

4 And also I don't know if you really ca n
5 answer this, but in your NDA 6.8 tumor response b y
6 concomitant protease inhibitor use, that's a complete
7 flip from what you are just showing now. Originally
8 you were telling us that patients on proteas e
9 inhibitors did worse, albeit not statisticall y
10 significant, than patients not on protease inhibitors .
11 What's the reason for the switch?

12 DR. HARRIMAN: I don't think w e ever said
13 in any documents that we thought that patients di d
14 worse. I think --

15 DR. MARCO: Not worse. I said no t
16 significant. But you say the success rate of 79. 2
17 percent in patients not on protease inhibitors. And
18 you say a response rate of 50 percent on patients on
19 protease inhibitors. Even though it's no t
20 statistically significant, the se numbers on the slide
21 are different.

22 DR. HARRIMAN: Yes. Okay. Tw o points to
23 make. One, this is again, we did not feel thos e
24 numbers were not statistically significant. We di d
25 not feel that they were significant.

1 Number two, part of the reason for the
2 confusion, the numbers that you saw were based on an
3 analysis that we had done prior to getting our
4 independent confirmation by Dr. Kaplan who reviewed
5 all 89 cases and did an assessment of tumor responses
6 as well as cycle in which tumor responses occurred,
7 and also the point at which progression occurred. We
8 reanalyzed our database using only Dr. Kaplan's
9 independent assessment of our tumor responses and
10 timed to progression and time to response.

11 So the numbers that I'm showing you here
12 today are based solely on Dr. Kaplan's analysis which
13 I think accounts for the reasons there is a difference
14 between that and the numbers that you see in the ODAC
15 briefing document. The analysis that we did with Dr.
16 Kaplan's numbers were actually done subsequent to the
17 submission of that briefing document.

18 DR. MARGOLIN: I have a few questions
19 related to the assessment of the durability of the
20 responses and concern about long term therapy. The
21 first one is I think it's somewhat untraditional to
22 assess the duration of response beginning of the onset
23 of therapy rather than at the onset of some
24 documentation of response. But I'll just make that as
25 a rhetorical comment because obviously the FDA has

1 looked at that question, I'm sure.

2 The question I have that I don't think was
3 in the data you presented was you gave a median time
4 to treatment failure, I think of 234 days. But
5 reasons for off study -- I think it would be useful to
6 see how many patients went off study because they
7 relapsed among responders and/or a Kaplan Meier plot of
8 what's happening to the responders over time. Because
9 a duration of response not reached doesn't really tell
10 us what's happening with at least some of these
11 patients.

12 And then the related questions would be in
13 patients who responded but who had a brief response
14 then relapsed, do you have any data about retreatment?

15 DR. HARRIMAN: Okay, yes, very good
16 questions. In terms of discontinuations, if I could
17 have slide 128 please. These are the reasons for
18 discontinuation, either in patients who received
19 greater than two cycles or patients who -- greater or
20 equal to those and patients who received less than two
21 cycles of therapy. The 15 patients who discontinued
22 treatment after two cycles of therapy, two were for
23 death, two for toxicity, one for disease progression,
24 two refused further treatment, and eight for various
25 other reasons such as the patient moved or switched

1 doctors and so forth.

2 In patients who discontinued therapy prior
3 to receiving two cycles of therapy, three of the
4 patients were because of death, one was lost to
5 follow-up, one refused further treatment and seven,
6 again, were other, which was the various reasons that
7 I indicated.

8 In terms of the Kaplan Meir, we don't have
9 that analysis but Steve, do you want to say anything
10 in terms of the calculation of duration to response?

11 [Pause.]

12 DR. OZOLS: I have a question about the
13 safety. You said the safety profiles in some
14 instances may be better than Taxol. Does that relate
15 to possible use of protease inhibitors? Do you have
16 different toxicity profiles for use with and without
17 the inhibitors?

18 DR. HARRIMAN: We feel that, first of all
19 the two products, Taxol and Paxene, although they both
20 contain the same active moiety, are different
21 proprietary preparations with different formulations.
22 Although we cannot address that specifically, it is at
23 least a possibility that some differences in side
24 effect profiles may be related to differences in
25 formulation.

1 In terms of the possible role of protease
2 inhibitors, I think you know, it remains I think a
3 question that cannot really be fully answered right
4 now. I think some of the side effects, adverse
5 events, the difference is that we observed would seem
6 to be at least at first blush not likely attributable
7 to the protease inhibitors, for example, differences
8 in arthralgia, myalgia. But I think, I really can't
9 comment further than that.

10 DR. OZOLS: And then the other question in
11 your proposed indication for failure of first-line or
12 subsequent chemotherapy, would that include Taxol?

13 DR. HARRIMAN: There have been some
14 studies that have been done, and we actually have as
15 an amendment to our protocol in patients who
16 progressed on three hour infusions of paclitaxel to
17 enter them into a protocol which uses 96 hour
18 infusions of Paxene. There were small numbers, I
19 guess Dr. Seville in his study when he was at the
20 National Cancer Institute, had studied small numbers
21 of patients that had progressed on three hour
22 infusions and found some evidence of efficacy in those
23 patients when they were switched over to 96 hour.

24 As you probably are aware, also, there are
25 studies ongoing looking at 96 hour infusions of

1 would have had to have a bronchoscopy done prior to o
2 the study and documenting KS.

3 DR. SCHILSKY: In your presentation you u
4 said there were 37 patients who had visceral disease.

5 DR. HARRIMAN: Yes. And, many of those e
6 patients that's based upon the clinical diagnosis or
7 the clinician's impression at study entry. We had
8 seven patients who were being followed for pulmonary
9 disease specifically in whom attempts were made to o
10 document it, prestudy with bronchoscopies and so
11 forth. And of those seven patients that we had
12 confirmation of that, I believe it was five of those
13 patients had evidence of response in their pulmonary
14 disease.

15 DR. SCHILSKY: I also have a questio n
16 about the pharmacokinetics. I was just curious about
17 a couple of things. One is that othe r
18 pharmacokinetics, or other PK studies of paclitaxe l
19 have suggested that the most relevant pharmaco-dynami c
20 parameter is duration of expos ure above the threshold
21 concentration. I see that you didn't present data on
22 that particular parameter. And I wonder if you even
23 can generate that since the patients were only studie d
24 after 48 hours.

25 But it may be that the AUC and Cmax and so

1 on are not particularly relevant PK parameters given
2 the way the drug seems to work . So do you have -- is
3 there any data on duration of exposure above seven
4 threshold concentration?

5 DR. HARRIMAN: Ken?

6 DR. DUCHIN: We didn't look at that
7 specifically because about half the patients in the PK
8 analysis were taking nupegen at the time. So, we felt
9 that would confound the analysis.

10 DR. SCHILSKY: Why would that confound the
11 analysis if that's what the concentrations were?

12 DR. DUCHIN: Because when we looked at
13 change on the neutrophil count --

14 DR. SCHILSKY: I'm not asking you to
15 relate it to any clinical parameter, I just want to
16 know if you have data on, you know, number of days or
17 number of hours with a concentration above the
18 threshold value.

19 DR. DUCHIN: Oh, we have that, but we
20 don't have it today.

21 DR. SCHILSKY: Okay. I have one other
22 question before you go about the PK and the impact of
23 the protease inhibitors. From the data that you
24 showed us, it doesn't appear that there is any
25 alteration in the PK, which, I guess, is a little bit

1 surprising to me. But I wonder if -- I just want to
2 be clear that when the PK studies were done, was the
3 only variable in a sense whether patients were getting
4 protease inhibitors or not, or were patients also
5 getting all of the other drugs that they were getting,
6 plus or minus the protease inhibitors? Because it
7 could be very difficult to sort out the PK data and
8 try to dissect out the impact of the protease
9 inhibitors in the presence of multiple other drugs,
10 others of which may have an influence on various
11 cytochrome P450s.

12 And so what you are looking at, I presume,
13 is a resultant effect and it is certainly conceivable
14 to me that effect may not actually reflect the actual
15 impact of the protease inhibitors themselves. So do
16 you have any sort of more pure way of looking at the
17 data?

18 DR. DUCHIN: The purest way that we have
19 are in those two patients where I presented, and
20 clearly the only change was the addition of indinavir.

21 DR. SCHILSKY: So all of the other
22 medicines that they were taking over that course of
23 time remained constant?

24 DR. DUCHIN: Yes, that's correct.

25 DR. SCHILSKY: Okay.

1 CHAIRMAN DUTCHER: Dr. Northfelt?

2 DR. NORTHFELT: Thank you. Dr . Harriman,
3 I noticed that in the afternoon, after the FD A
4 evaluator makes his presentation you are not offered
5 any opportunity to rebut. So I'd like to ask you to
6 rebut one statement that's made in the material I
7 read. And I just want to tell you what my bias is in
8 this so that you know where I'm coming from.

9 As a clinician I don't think that th e
10 objective tumor response criteria that we are using in
11 these studies has any real value, especially in peopl e
12 with very advanced disease. So I think really what w e
13 need to understand is how these treatment impact o n
14 the quality of life of these patients and what th e
15 clinical benefits are aside from the objectiv e
16 response criteria.

17 So I was very happy to see that you ha d
18 done a quality of life analysis in this study and tha t
19 you did show some improvements in several areas. But
20 that was not, that enthusiasm was not shared by th e
21 reviewer from the FDA. So in part he says tha t
22 results of the analyses of the SDS components and the
23 total SDS score should be interpreted with caution du e
24 to the lack of a control group in the study. And the n
25 he goes on to say for the same reason the impact o f

1 missing data cannot be adequately assessed, thus n o
2 claims for improvement can be validly made. And this
3 is respect to quality of life again.

4 Only statements pertaining to trend s
5 toward improvement are supportable and he ends b y
6 saying the approval decision should be based only on
7 clinical considerations of this application. So he is
8 essentially asking us to ignor e all of the quality of
9 life information that you have presented. And I' m
10 sort of crushed by that so I'd like you to get me bac k
11 up again.

12 DR. HARRIMAN: Okay, I'll see if I can do
13 that. First of all, again, I just want to emphasize
14 that this is, to our knowledge, the first time that a n
15 attempt at taking a quality of life instrument, a
16 Symptom Distress Scale, and st udying it prospectively
17 in advanced AIDS-KS patients with the attempt being to
18 try and determine whether there is a feeling o f
19 improvement on the part of the patients of thei r
20 symptoms.

21 Now, it's a fair statement to say short o f
22 a head to head randomized comparison study, one ca n
23 always argue that there could be a placebo effect in
24 other things that would bias the patient in thei r
25 responses. However, with respect to that, one point

1 that I tried to make during my presentation and I hope
2 it was made, but in the figures that I showed -- let
3 me go to the one on the facial -- the point I was
4 trying to make is that when one looks at baseline
5 median scores and at least at cycle four where there
6 is a highly statistically significant improvement, the
7 difference in the number of patients -- it was 29 that
8 had evaluations at baseline and 27 at cycle four, so
9 very few patients were lost between baseline and cycle
10 four in this case, although clearly patients get lost
11 as the study goes on.

12 Now, I guess what I would argue is that
13 given the fact that you had very little loss in
14 patients between baseline and cycle four, it's hard to
15 argue that the worst patients are dropping out and you
16 are only looking at the better patients that are still
17 there at cycle four. So, for that reason I think one
18 could argue that this difference is probably real and
19 meaningful.

20 About all --

21 DR. BRODER: We understand and deeply
22 respect the FDA's review and we understand their
23 comments. Our position is that we do not agree with
24 their assessment. The prior attempts at these types
25 of assessments have been retrospective and essentially

1 in effect an attempt to do quality of life assessment s
2 looking back in time, essentially after a study ha s
3 been completed in many cases.

4 And so recognizing all of the potentia l
5 limitations that one might have, I guess the simpl e
6 bottom line is that this was a prospective study with
7 statistically significant results, at least at certai n
8 parameters and at certain time points. And it mus t
9 constitute an improvement over other previous attempt s
10 to make these quality of life assessments.

11 So with respect to the FDA on thi s
12 specific point, we disagree.

13 DR. SIMON: I had a few questi ons, one on
14 pharmacokinetics. Did you try to assess whethe r
15 Paxene affected the pharmacokinetics of the protease
16 inhibitors?

17 DR. DUCHIN: Yes. In one study where we
18 had two patients that were done, we did look a t
19 indinavir levels in only a few samples. And they wer e
20 within the expected range for indinavir. But we did
21 not do a standard profile of indinavir concentrations .

22 DR. SIMON: Do you have data r elating the
23 objective tumor response to the symptomati c
24 improvement on the Symptom Distress Scale for baselin e
25 to course seven? I think once you get beyond - -

1 course four -- I think once you get beyond cours e
2 four, I guess my view is there is so many patient s
3 lost from evaluation that that data and those P value s
4 are not valid. But do you have a correlation o f
5 response, objective response versus symptomati c
6 improvement over the first four courses?

7 DR. HARRIMAN: Right. We had done som e
8 initial analyses in trying to correlate tumor respons e
9 with improvement in the Symptom Distress Scale and ,
10 although I don't think we have that information here
11 today with us, we did not see or rather we saw simila r
12 improvements in Symptom Distress Scale scores i n
13 patients who responded -- Steve?

14 DR. CAROL: The data we have is based upo n
15 our internal tumor response ra te data and what we did
16 find was that even in non-responders there wa s
17 reduction in the symptom distress score, th e
18 symptomatology in that first baseline to cycle four.
19 And we couldn't distinguish it in that period from th e
20 drop, the median change we saw in the respondin g
21 group. That was by our internal assessment o f
22 response. We haven't repeated that using th e
23 independent reviewer's assessment.

24 DR. SIMON: One final question. Yo u
25 present in your application an analysis of Karnofsky

1 performance data over time. I guess I don't
2 understand how that's a valid analysis given that over
3 time, particularly these patients taking protease
4 inhibitors, their performance is going to improve and
5 certainly when you take their last performance score
6 and those who go off study early because they are not
7 responding to their HIV treatment are going to have
8 lower -- not going to have improved performance scores
9 and those who stay on longer because for a variety of
10 reasons are going to have improved performance. Maybe
11 as a result also of their anti-HIV treatment. I don't
12 see how you can attribute that significant improvement
13 in Karnofsky performance, how you can attribute that
14 to treatment with Paxene?

15 DR. HARRIMAN: I don't -- I mean I take
16 your point and I'm not sure we are trying to argue
17 that the entire improvement in Karnofsky performance
18 status is simply a consequence of treatment with
19 Paxene.

20 However, I think what one can discern from
21 that data, I think, and this is, I think, an important
22 piece of information to gain, is that certainly the
23 treatment with Paxene is not causing a deleterious
24 effect on the patients' Karnofsky performance status.
25 Moreover, notwithstanding the improvement or the

1 effects of -- possible effects of protease inhibitors
2 and other variables on the results that we are seeing
3 in Karnofsky performance status, I think in light of
4 all of the other evidence that we have shown you, I
5 think it's reasonable to conclude that perhaps at
6 least some of the improvement would be attributable to
7 the study drug.

8 DR. SWAIN: In the FDA document, it was
9 stated that there is 75 percent of the patients had a
10 one week delay and about 40 percent had a two week
11 delay and you are recommending to give this drug every
12 two weeks, but it seems like most of the patients
13 really didn't receive it every two weeks. Can you
14 comment on what's in there that there is no reason for
15 that delay in a large number of patients and how as
16 practitioners using this drug, it should be used?

17 DR. HARRIMAN: The way the protocol was
18 designed is the patients were to have received the
19 Paxene at two week intervals. And that was adhered to
20 as much as possible during the first ten cycles of
21 therapy.

22 It was, actually at the prompting of the
23 investigators, their feeling was that in every two
24 week -- after ten cycles of therapy or after the
25 patient has responded to the Paxene, that it's very

1 inconvenient for the patients to come in every tw o
2 week intervals to get the treatment and the hope was
3 that one could increase the interval between cycle s
4 and still maintain responses. So we modified th e
5 protocol to allow for intervals up to three to fou r
6 weeks between cycles after ten cycles of therapy. In
7 fact, a number of patients who had completed te n
8 cycles of therapy went to that every three or fou r
9 week schedule.

10 We don't have any really comprehensiv e
11 data in terms of being able to discuss whethe r
12 patients that continue on an every two week regime n
13 after cycle ten or those that go to every three o r
14 four weeks whether there is any difference in the time
15 to progression or the rate of progression, becaus e
16 that wasn't really part of the original protoco l
17 design. But I think it's an interesting question tha t
18 perhaps merits further explora tion, and that is after
19 the patient has had a tumor response, is it possible
20 to increase the interval between cycles and stil l
21 maintain responses.

22 DR. SWAIN: And the second que stion. Can
23 you discuss the hepatotoxicity with and without th e
24 protease inhibitors that you saw?

25 DR. HARRIMAN: Yes. [Pause.] We don' t

1 have that data summarized, but we can certainly ge t
2 that for you. But unfortunately, we don't have i t
3 available today.

4 What I can say is that there was certainl y
5 no -- well, as you know patients on proteas e
6 inhibitors, particularly indinavir, can hav e
7 elevations in bilirubin and in some cases there appear
8 to be a small number of patients on indinavir that ca n
9 have concomitant increases in their transaminases as
10 well as bilirubin. We did see some patients who were
11 on indinavir who had elevated bilirubin levels, but I
12 don't have available at this point any data tha t
13 specifically compare patients that were on and of f
14 protease inhibitors with regard to that. Sorry.

15 DR. SCHILSKY: Could I just follow up on
16 that for a moment because there is a substantia l
17 amount of data to suggest that patients with abnormal
18 liver functions don't tolerate paclitaxel well an d
19 that even, you know what may be relatively trivia l
20 elevations of transaminases may predispose patients t o
21 much more severe toxicity.

22 So it would seem to me that i n
23 circumstances with patients who are taking a drug like
24 indinavir which may case some hepatic toxicity tha t
25 that certainly could place them at much greater risk

1 of Paxene toxicity if the Paxene dose is not modified .
2 And I wonder if you thought about that and considered
3 how you might deal with that issue in package
4 labeling?

5 DR. HARRIMAN: Certainly the protocol
6 specified that if patients had significant elevations
7 in their liver function tests, bilirubin above 1.5, a
8 five fold or higher increase in transaminases, that
9 that would be a criteria for dose modification of
10 paclitaxel. We can pull up the data in terms of dose
11 modifications. But what I can say in that regard is
12 that is certainly a potential concern that one has to
13 be aware of.

14 But again, I would just draw your
15 attention, at least in the broad sense, to the slide
16 I showed comparing the safety -- the adverse events of
17 patients on protease inhibitors and not on protease
18 inhibitors. At least in that broad sense we are not
19 seeing any significant differences in terms of the
20 toxicities. However, I agree with you, one would
21 probably need to look very carefully at the subset of
22 patients where there are abnormal liver function tests
23 in order to really be able to look at that.

24 CHAIRMAN DUTCHER: One last question?

25 DR. MARGOLIN: I have actually two brief

1 questions that are not exactly related to each other.
2 One is sort of generic, not pertaining only to you r
3 product, but in AIDS patients that are going to be --
4 excuse me, HIV positive patients who are going to be
5 receiving this drug at two to three week intervals of
6 what looks like prolonged periods of time.

7 The question is whether the risk o f
8 hypersensitivity reactions goes down sufficiently to
9 consider tapering or perhaps even discontinuing th e
10 decadron. Because if you add up the amount o f
11 decadron that is used in these patients who ar e
12 already at serious risk of OIs, it really gets to be
13 quite a lot. And I wonder if you have data on th e
14 HSRs? I'll ask my second question after you answe r
15 that.

16 DR. HARRIMAN: Okay. Yes, the within the
17 protocol there was expressed in the protocol certain
18 doses of decadron that were to be used and it s
19 specified intervals. However, there was actually som e
20 variability in terms of both the dose of decadron tha t
21 was used and the schedule for when it was given among
22 the different investigators. And I think, I don' t
23 know whether Dr. Gill would li ke to talk at all about
24 this, because I believe he has some information i n
25 that regard.

1 But I do think that there is at least some
2 anecdotal evidence to suggest that as the therapy
3 continues in patients who have not had any evidence of
4 hypersensitivity reactions, one may be able to get by
5 with lower doses. But to my knowledge, that's not
6 been formally studied.

7 DR. MARGOLIN: The related question
8 actually, I think Dr. Gill will end up having to
9 answer. I'm just curious whether there was an overlap
10 in the time frame of the accrual to this study and the
11 other one at USC. I think I recall hearing one of the
12 patients mention the same protocol nurse that was at
13 the last meeting, if I'm not mistaken. The reason I
14 ask that is because there is a question whether any
15 selection factors or bias could have been introduced
16 into which patients were put in which study at the
17 same institution.

18 DR. GILL: Patient accrual in the first
19 trial ended in December and this trial began accrual
20 in January. So there is no overlap. And since I'm up
21 I can just say that the dose on decadron has been
22 reduced in some patients down to four milligrams, but
23 it's never been done in an organized way to give you
24 a sense. It seems that you can go down to four. Can
25 you go down to zero is an important question and

1 hasn't been addressed.

2 CHAIRMAN DUTCHER: We have a couple o f
3 more questions. Dr. Aboulafia?

4 DR. ABOULAFIA: Thank you. Just as a
5 quick comment. There is a point in time wher e
6 patients achieve stabilization of their disease an d
7 they remain at that state. In terms of indication s
8 and how often you give this drug, you are going t o
9 have to build in the knowledge of what their HIV vira l
10 load is, and how that reflects on their case load.

11 And what I mean by that is not everyon e
12 needs to be maintained at two week dosing for the res t
13 of their lives. And many of these patients who have
14 achieved an initial response and have a concomitan t
15 reduction viral load to nondet ectable levels may well
16 be able to go off chemotherapy or really go down t o
17 much less frequent dosings.

18 And that's what I was trying to get a t
19 when I was asking about the viral loads or if you hav e
20 data on how many different antiviral combination s
21 patients were -- had with them when they came into th e
22 studies. Or alternatively, in the study how man y
23 times their antivirals were changed. Those are th e
24 key things.

25 It doesn't help me a lot to he ar the data

1 of how many patients responded on protease inhibitors
2 versus those that didn't if I don't have viral loads
3 and CD4 counts to know really what their clinical
4 state was. Many of these patients are put on viral
5 loads, it sounds like a fairly heavily pretreated
6 group with a CD4 count of 30. And what that means is
7 that some of them are not going to respond to the
8 protease inhibitors either and the fact that you are
9 looking at groups that you had put those on doesn't
10 mean, at least to me per se, that their viral loads
11 are nondetectable.

12 DR. HARRIMAN: I'm sorry -- I didn't hear
13 your last point.

14 DR. ABOU-LAFIA: The fact that they are on
15 protease inhibitors doesn't allow me to infer that
16 their viral loads are well controlled or
17 nondetectable.

18 DR. HARRIMAN: Right. Yes, about all I
19 can say in response to your question is, as we all
20 know, the changes that occurred in the management of
21 HIV disease over the last two years has been very
22 dramatic and the way the current standard of care and
23 the current way in which we approach patients with HIV
24 is very different than it was even a year ago when
25 this protocol, or a year and a half ago when this

1 protocol was begun.

2 I think clearly, you know, knowing a
3 patient's viral load is going to be very important in
4 managing the patient and also assessing the relative
5 need for other therapies for treating their
6 concomitant illnesses such as Kaposi's sarcoma. I
7 don't think we can further address those questions at
8 this time.

9 CHAIRMAN DUTCHER: Dr. Walkes?

10 DR. WALKES: You had mentioned that you
11 allowed for one dose reduction and you had also said
12 that the curve was not linear over 100. Is it linear
13 below 100? And why do you have to reduce the dose?
14 Is it because of things like hepatotoxicity? And one
15 other thing, if you do reduce the dose, is it still
16 effective?

17 DR. HARRIMAN: Those are good questions.
18 The reason for dose reduction was for protocol
19 specified toxicity. Primarily it was for toxicities
20 associated with the paclitaxel, severe neutropenia,
21 febrile neutropenia, grade three or higher
22 hepatotoxicity or peripheral neuropathy, those types
23 of things.

24 We had several patients who did have dose
25 reductions -- yes, we had nine patients who had dose

1 reductions to 75 milligrams per meter squared because
2 of toxicities. Some of those patients were able to go
3 subsequently back up to higher doses as their Kaposi's
4 sarcoma improved. In other cases, they stayed at 75
5 milligrams per meter squared.

6 Because of the small number of patients,
7 we can't draw any definitive conclusions about the
8 effectiveness of 75 milligrams except that we did have
9 in at least a couple of cases, patients who were on 75
10 milligrams per meter squared and were able to maintain
11 their response.

12 Okay, actually, Eric Fletcher, the first
13 gentleman that got up to speak, had a dose reduction
14 to 75 milligrams per meter squared. And he had a
15 response while he was on the 75 that he subsequently
16 more recently had gone back up to 100 milligrams per
17 meter squared.

18 CHAIRMAN DUTCHER: Thank you. I think we
19 are going to have to end the questioning right now and
20 take a break for ten minutes. We will be back here at
21 11:15.

22 (Whereupon, the foregoing matter went off
23 the record at 11:05 a.m. and went back on
24 the record at 11:19 a.m.)

25 CHAIRMAN DUTCHER: We are now going to

1 begin the FDA presentation. People will take their
2 seats please. Dr. Kobayashi?

3 DR. KOBAYASHI: Could I have the lights
4 down, please? Thank you.

5 Dr. Dutcher, members of the Advisory
6 Committee, Dr. Temple, my colleagues in the FDA,
7 ladies and gentlemen, today I will be presenting the
8 clinical portion of NDA20-826, Paxene for advanced
9 AIDS-Kaposi's related sarcoma. Before proceeding
10 further, I would like to acknowledge the many
11 important contributions made by the members of the
12 review team shown on this slide.

13 The indication proposed in the NDA and
14 under discussion today is for use after failure of
15 first-line or subsequent systemic chemotherapy for the
16 treatment of advanced AID-related Kaposi's sarcoma.
17 The proposed dose and schedule is 100 milligrams per
18 meter squared intravenously over three hours every 14
19 days.

20 The primary end point of the Paxene study
21 in this application is objective tumor response.
22 Evidence of clinical benefit is being sought from the
23 data on the following five domains, response of
24 disfiguring facial and foot lesions by visual
25 assessment, response of tumor associated edema by

1 visual assessment, response of pulmonary lesions and
2 change in performance status. This in addition to
3 cutaneous tumor response data is being presented today
4 to obtain approval of the Paxene in this indication.

5 The original NDA was submitted to the FDA
6 in June of 1994. The applicant initially proposed a
7 100 patient randomized controlled clinical trial in
8 patients with AIDS-KS in July of 1995 and submitted
9 the protocol for the current study in September of
10 1995. The applicant met with FDA on several occasions
11 following initiation of the study to discuss issues of
12 end point definition and analysis. The NDA itself was
13 submitted in March 31st of 1997.

14 In a special considerations meeting with
15 the FDA on September 15, 1997, the applicant requested
16 that FDA consider a change in the indication to target
17 third-line systemic therapy in patients previously
18 treated with Doxil.

19 The applicant's pivotal study was
20 conducted between September 1995 and March 1997 and
21 enrolled 89 patients with advanced AIDS-Kaposi's
22 sarcoma in nine centers located in California,
23 Massachusetts, New York and Florida. Literature
24 reports on three other studies were also included in
25 the application, as shown on this slide here.

1 All studies are single arm, open label
2 Phase II studies. The dose and schedule chosen for
3 the pivotal study was based on Study No. 139-281,
4 conducted at the USC Norris Cancer Center and at
5 Massachusetts General Hospital, both participating
6 centers in the current study. A Brown University
7 study enrolled only four patients and used a markedly
8 lower dose of paclitaxel and will not be considered
9 further in this presentation. Both studies 139-174
10 and 139-281 have previously been presented to this
11 Committee.

12 The only study, it should be pointed out,
13 using the applicant's formulation is the pivotal
14 study. The other studies used the currently approved
15 formulation. It should also be noted that the
16 formulation used in the applicant's clinical study is
17 not the same as the formulation which is intended for
18 marketing.

19 The study objectives were first to
20 determine response rate and median time to tumor
21 progression for patients with advanced refractory
22 AIDS-related Kaposi's sarcoma treated with a three
23 hour infusion of Paxene at a dose of 100 milligrams
24 per meter squared every 14 days. Secondly, to
25 determine the toxicity profile of this dose and

1 schedule. And thirdly, to evaluate clinical benefit
2 in this patient population.

3 Quality of life in the pivotal study was
4 also assessed using the Symptom Distress Scale .
5 However, the applicant was advised that the FD A
6 regards interpretation and reliability of quality of
7 life data collected in single arm, open label studies
8 as problematic.

9 As pointed out by the sponsor, eligibl e
10 patients have had to have failed at least one prio r
11 systemic chemotherapy regimen. And acceptabl e
12 indications for treatment incl uded one or more of the
13 following: multiple, more than 25 mucocutaneou s
14 lesions; visceral involvement -- initially symptomati c
15 visceral involvement was required, however this wa s
16 later changed to allow the simple fact of viscera l
17 involvement to qualify for entry; and finall y
18 symptomatic lymphedema.

19 Initially, at least five measurabl e
20 cutaneous lesions were required. This was late r
21 changed to specify that these lesions must be raised.
22 Response was graded using a modification of the ACTG
23 criteria initially described by Crown, et al.

24 In this system, a complete response i n
25 accordance with standard oncologic practice requires

1 the complete disappearance of any detectable residual
2 disease and this must persist for at least four weeks .
3 Please also note that biopsy documentation of the
4 absence of disease is required when flat lesions
5 persist.

6 Partial response requires the absence of
7 any new lesions or edema and also any one of the
8 following occurrences: either a greater than 50
9 percent decrease in lesions counts that persist for at
10 least four weeks; a greater than or equal to 50
11 percent decrease in the total area of the five marker
12 lesions or complete flattening of at least 50 percent
13 of all previously raised lesions. Note that according
14 to the protocol, only the decrease in lesion count
15 required 28 day confirmation.

16 Criteria for progressive disease require
17 only the demonstration of new or progressing visceral
18 disease, new or increasing tumor associated edema, a
19 greater than 25 percent increase in the total lesion
20 count, a greater than or equal to 25 percent increase
21 in the total area of the marker lesions or a change in
22 the character of at least 25 percent of all previousl y
23 flat lesions to raised.

24 Please note that unlike progressio n
25 criteria in other solid tumors in which a single new

1 lesion would indicate progression, in this system a 25
2 percent increase in the total lesion count is
3 required.

4 I would like to point out two difficulties
5 with the current definitions, while at the same time
6 acknowledging that a joint effort of the AIDS
7 Malignancy Consortium, the National Cancer Institute
8 and the FDA is currently underway to revise these
9 criteria.

10 First, the criteria did not explicitly
11 resolve the situation in which a patient progresses on
12 one of the three response subscales prior to
13 responding on either the same or a different subscale.
14 And second, the criteria did not clearly specify the
15 method of calculating progression based on lesion
16 flattening. It is important to emphasize that the
17 criterion in current use were applied to this
18 application.

19 The protocol specified that overall
20 response was to be limited to the first ten cycles.
21 However, after inspecting the data, it became clear
22 that late responses on one of the three subscales,
23 tumor lesion count, tumor size and nodule flattening,
24 occurred in at least eight patients and therefore, a
25 response was credited regardless of the time in which

1 it would occur.

2 Although the protocol did not explicitly
3 state this, confirmation at four weeks was required
4 for all partial responses not only for responders on
5 the total lesion counts. This is in accordance with
6 standard oncologic practice and the applicant has
7 accepted this modification in communications following
8 distribution of the draft medical officer review.

9 Based on information previously supplied
10 by other investigators in this field, patients who
11 progress on any subscale were deemed progressors,
12 regardless of subsequent responses.

13 A total of 89 patients were enrolled with
14 a median Karnofsky performance status of 80 percent.
15 In general, the study enrolled patients with advanced
16 disease in that at least 80 percent of patients were
17 poor risk on at least one of the prognostic staging
18 subscales. In tabulating the indications for
19 treatment, it can be seen that 80 percent of patients
20 required treatment for multiple cutaneous lesions. A
21 total of a third of the patients required treatment
22 for visceral lesions either symptomatic or
23 asymptomatic and approximately half had symptomatic
24 lymphedema. Exactly half had symptomatic lymphedema.

25 The patient population also fit the

1 description of refractory disease. Although th e
2 median number of received prio r chemotherapy regimens
3 was one, there was a maximum of five, and there is a
4 significant percentage of patients who have had a t
5 least two prior regimens. Bet ween a third and a half
6 of the patient population had received and faile d
7 prior therapy with either Doxil or DaunoXome or both
8 and the majority of patients had stopped their las t
9 systemic chemotherapy regimen prior to entry on this
10 study because of either inability to tolerat e
11 treatment or because of progressive disease.

12 At least a third of patients ha d
13 progressed through their immediately precedin g
14 chemotherapy regimen.

15 For the Committee's reference, thi s
16 analysis is labeled the Per Protocol Analysis in the
17 draft medical officer review previously circulated .
18 After extensive review and discussion of furthe r
19 additional data submitted by the applicant, th e
20 primary FDA analysis concludes that this study shows
21 a 42 percent response rate usi ng the previously cited
22 interpretations of the protocol. All responses were
23 partial and no complete responses were noted.

24 The median duration of response is 1 3
25 days, although this has not been confirmed by ou r

1 statistician. The time to response was 34 days and
2 the median time to progression calculated using 37
3 events and 51 censored observations was 163 days.

4 In accordance with the applicant's request
5 at the special considerations meeting, the issue of
6 response in patients with prior Doxil therapy was
7 examined. Twenty seven patients in this study have
8 previously received Doxil. Thirteen as first-line
9 treatment, and 14 as second-line or greater.

10 Amongst the 13 patients receiving Doxil as
11 first-line treatment, there were three partial
12 responders for a 23 percent response rate using the
13 response categories assigned during the primary
14 FDA analysis.

15 In the 14 patients receiving Doxil as
16 second-line or greater treatment in which Paxene
17 therefore would have constituted third-line or greater
18 treatment, there were six responders for a 43 percent
19 response rate.

20 This slide shows the areas of discrepancy
21 between the applicant and FDA in accounting for the
22 responses. Please note this compares the revised FDA
23 primary analysis with the applicant's revised analysis
24 which they have presented. Let me bring that up for
25 you. The major problems can be seen to be -- to occur

1 in the patients with responders, claimed responder s
2 who progressed prior to the actual observation of a
3 response. Please also note that there was on e
4 responder credited who could not be documented to have
5 a greater than 50 percent decline on any of th e
6 response subscales. And please also note that the FD A
7 review upgraded three patients from the stable diseas e
8 category to the partial response category.

9 The overall response rate of a ll enrolled
10 patients observed in the two major studies from th e
11 literature are shown here. Although it should b e
12 noted that data for these studies were not submitted
13 to the FDA and only the published literature reports
14 were included.

15 In Dr. Gill's study, which was again a s
16 noted the pilot for this study, there was a 59 percen t
17 overall response rate in all enrolled patients. I n
18 the 40 patients who had been previously treated, ther e
19 was a 52 percent response rate.

20 While the publicly available r esults from
21 these studies appear encouraging, the Agency regards
22 them as sufficiently different from the pivotal study
23 in both design and execution, that any formal, direct
24 comparison would be inappropriate.

25 One issue that arose in discussions with

1 the applicant following the initial circulation of the
2 draft medical officer review is the possibility of
3 multiple valid interpretations of the progression
4 criteria. Shown here is the clause in question. With
5 the Committee's permission, I would like to take a few
6 minutes to present a short example that illustrates
7 the difficulty.

8 This is an example selected from the
9 submitted database. The ellipses here indicate data
10 that were excluded to ease a presentation which
11 neither add nor detract from the point of this
12 presentation. Shown here are the cycle number, the
13 day of therapy, the observed number of flat lesions at
14 each time point, the calculated number of flat lesions
15 at each time point, the observed number of raised
16 lesions at each time point, and the change in the
17 number of raised lesions from the previous cycle. The
18 line shown here in magenta represents the nadir of the
19 raised lesion count.

20 Based on extensive correspondence with the
21 applicant and the extensive and internal discussions,
22 there appear to be at least five separate methods of
23 determining progression. This becomes important
24 because a patient's overall response integrates the
25 outcomes on the three separate response subscales.

1 Thus, for instance, an initial early progression base d
2 on lesion flattening would result in a patient being
3 considered a progressor despite the occupance of a
4 later response on the basis of tumor size or tota l
5 lesion count.

6 Now Method 1A would use as the method of
7 determining the baseline for progression, the observe d
8 number of flat lesions at the nadir of raised lesion.
9 In this patient, it would sele ct a reference value of
10 30 and 25 percent of that number, or seven new lesion s
11 would be required for the patient to progress.

12 Method 1B, which is the method used by th e
13 applicant, models the number of flat lesions at th e
14 nadir of the raised lesion count. In this patient, i t
15 would select a reference value of 41 and then te n
16 patients or ten lesions, excus e me, would be required
17 for this patient to progress.

18 Method No. 2 would use the observed numbe r
19 of flat lesions in the cycle i mmediately prior to the
20 nadir of the raised lesions as the baseline. In this
21 patient, it would select a reference value of 17 and
22 four lesions would be required for progression.

23 Method No. 3 uses the number of raise d
24 lesions that flatten by the nadir of the raised lesio n
25 count as the baseline against which progression i s

1 judged. In this patient, it would return a value of
2 33 as the reference, and there fore, eight new lesions
3 would be required for progression.

4 Method No. 4, which was the method adopte d
5 in the original FDA draft medical officer review ,
6 chooses the nadir of the raised lesion count as th e
7 reference value -- as the base line. In this patient,
8 it would select a reference value of five an d
9 therefore only one lesion would be required for th e
10 patient to progress.

11 Each of these methods, althoug h there are
12 multiple, has been applied by either the applicant or
13 one of several FDA reviewers in an informal surve y
14 taken within our division. Again, emphasizing tha t
15 Method 1B was applied to this application, Method No.
16 4, it should be pointed out, m ost closely corresponds
17 to the response criteria being developed currently in
18 the NCI/FDA/ANC collaboration.

19 To repeat the earlier slide showing th e
20 actual data, Method 1A would s elect a reference value
21 of 30, Method 1B would select a reference value of 41 ,
22 Method 2 would select a refere nce value of 17, Method
23 3 choosing the number of flat -- lesions tha t
24 flattened would choose this number here, 33. Method
25 4 which is the number used in the original FDA review

1 would choose a number of 5. And again, Method 1B is
2 the applicant's -- method applied by the applicant.

3 If one classifies this patient according
4 to each of these different methods, one comes up with
5 these outcomes. And the point here is the extreme
6 variability in outcome resulting from these different
7 methodologies. There is a ten fold variation in the
8 number of lesions that would be required for
9 progression, a nearly four fold variation in the day
10 and the day on which progression occurs, and
11 diametrically opposite response categorizations,
12 depending for this response scale anyway, depending on
13 the method chosen. In fact, according to Method 1 B
14 the patient would never have responded prior to the
15 end of treatment and would have remained as a partial
16 respondent throughout his entire course of treatment.

17 Presented here are the response rates from
18 the original review, shown for comparison and labeled
19 draft FDA analysis which again used Method 4 and
20 showed a 35 percent response rate. And the revised
21 FDA analysis which followed Method 1B, which more
22 closely approximates the current practice. The
23 estimate shown here, 42 percent, is our best estimate
24 of the response rate from this study.

25 Also shown here for comparison are two

1 secondary analyses, and I apologize at this point for
2 a typographical error in the handouts. The first
3 analysis, which is labeled as the relaxed FDA
4 analysis, was carried out to account for the
5 subjectivity which is inherent in the measurement and
6 counting of Kaposi's sarcoma lesions and to also
7 account for the clinical observation that initially
8 confluent lesions may occasionally breakup and make
9 the tracking of any individual lesion difficult.

10 If you adjust for these factors of
11 observer variability, one comes up with a response
12 rate of 45 percent. An analysis excluding five
13 patients who were ineligible for the study on the
14 grounds of significant medical reasons, yields a
15 response rate of 42 percent, that is 34 responders out
16 of 81 patients. Both calculations of this response
17 rate are essentially identical to the 42 percent
18 obtained in the revised primary analysis using Method
19 1B.

20 Moving on to the elements of clinical
21 benefit. Twenty five percent of 24 patients with
22 disfiguring facial lesions who had assessable
23 photographs submitted showed improvement in their
24 disfiguring facial lesions. While nine percent of 11
25 patients with foot lesions who had assessable

1 photographs submitted showed evidence of improvement,
2 and 12 percent of 48 patients who had lymphedema who
3 had assessable photographic evidence submitted had
4 improvement.

5 The submitted quality of life data is
6 weakened by the fact that it was collected in a single
7 arm, open label study and therefore lacks comparator
8 to assess the extent or the impact of the extent and
9 nature of the missing data. For similar reasons,
10 which are outlined in more detail in the medical and
11 statistical reviews, interpretations of analyses
12 aggregating more than one subscale are also considered
13 to be difficult.

14 Nevertheless, they made provide additional
15 helpful information in interpreting the response and
16 clinical benefit data presented. This slide depicts
17 the result of a longitudinal data analysis performed
18 by Dr. Koutsoukos, the statistical reviewer, on the
19 mobility data using response assessments from the
20 draft FDA analysis. He performed a similar analysis
21 to this using the response assessments from the
22 applicant's initially submitted analysis and obtained
23 essentially the same results. Therefore, only this
24 will be shown.

25 On this scale, a decrease in score

1 represents an increase in an improvement in mobility
2 and time in cycles is indicated here on the X axis .
3 As you can see, there is no statistically significant
4 difference in the rate of improvement between non -
5 responders which are indicated in the lower line and
6 responders which are indicated by the top line ,
7 although there is an improvement from baseline. Thus ,
8 although an unadjusted analysis which pools all
9 patients together, irregardless of response status
10 does show a statistically significant overall
11 improvement in mobility over time, this improvement
12 cannot be ascribed to differences between responders
13 and non-responders.

14 For the sake of completeness ,
15 statistically significant improvements over time were
16 noted in the unadjusted analyses of Appearance No. 1
17 which measures the worsening of appearance, mobility
18 as shown here, breathing and Karnofsky performance
19 status. However, analyses such as the one indicated
20 on this slide do not show any difference between
21 responders and non-responders on any of the subscales .

22 This slide shows the response of patients
23 with pulmonary involvement that had evaluable data .
24 Although the bottom line of 60 percent does appear
25 impressive, it should be noted that it is drawn in

1 five patients which represents a very small subset, 18
2 percent to be precise, of the 28 patients with
3 visceral disease who were enrolled in this study.

4 I should also note that the responses in
5 visceral disease all occurred in patients with
6 pulmonary lesions. This slide again depicts the work
7 of Dr. Koutsoukos on a performance status of data. It
8 should again be noted that there is no difference
9 between responders indicated here, and non-responders
10 indicated here.

11 Although there is again a significant
12 improvement over time from baseline, on this scale
13 again an improvement, unlike the previous scale, is
14 indicated by increase in the Y axis and again, time
15 and cycles is indicated on the X axis. And again, the
16 same comments made previously in reference to the
17 mobility data also apply to this data.

18 Turning to the safety analysis, the
19 applicant reported a total of 22 deaths, of which 11
20 occurred at greater than or equal to 30 days beyond
21 the last dose of study drug, and seven which were
22 possibly related to Paxene.

23 These seven deaths were distributed in the
24 following manner: five of them -- five of the 22 were
25 attributed to cytopenia complicated by infection; one

1 occurred as a result of a septic shock complicated by
2 respiratory arrest; one occurred in a patient who had
3 pulmonary hypertension with congestive heart failure
4 for the total of seven deaths.

5 This slide considers the occurrence of
6 opportunistic infections according to whether the
7 event represented a new event, the continuation of an
8 already established infection in that patient which
9 became established prior to entry to study, or
10 recurrence of a previous infection. There was one
11 patient in which such classification could not be
12 made.

13 Although definitive conclusions cannot be
14 drawn from this study due to the lack of a randomized
15 concurrent control arm, as a general statement, the
16 instance of opportunistic infections does not appear
17 unexpectedly high for this patient population and the
18 profile does not show an unusual distribution of
19 infectious organisms.

20 As expected, myelosuppression was
21 substantial with more than 80 percent of patients
22 having either neutropenia, leukopenia or anemia.
23 Approximately a third of patients had thrombocytopenia
24 and there were 11 patients or 12 percent in whom their
25 neutropenia was complicated by febrile neutropenia

1 which was defined as fever occurring during a period
2 in which the neutrophil count was less than 1,000
3 whether or not infection of a specific organism was
4 documented.

5 The use of hematopoietic support was
6 liberal with 41 percent of patients requiring the use
7 of supplemental PCSF and 25 -- a quarter of patients
8 requiring either erythropoietin or red cell
9 transfusions.

10 This study included a substantial number
11 of patients taking concomitant protease inhibitors,
12 although again lack of a concurrently randomized
13 control arm prohibits the drawing of definitive
14 conclusions regarding the presence or absence of
15 drug/drug interactions.

16 Known toxicities of protease inhibitors
17 include hyperbilirubinemia, diarrhea and renal calculi
18 and there were six patients shown here -- or nine
19 patients in whom an isolated elevated
20 hyperbilirubinemia was observed as their only instance
21 of hepatic toxicity. In each of these patients the
22 time course was consistent with the hypothesis that
23 they represented the effect of protease inhibitors as
24 opposed to Paxene toxicity.

25 Twenty nine patients, or 32 percent, had

1 arthralgia, myalgia, or severe arthritis which could
2 not be easily ascribed to a specific etiology apart
3 from the study drug and therefore the Agency adopted
4 a conservative position and ascribed the toxicity to
5 the study drug. There were ten patients in whom
6 nephrotoxicity occurred. Approximately a third of the
7 patients had neurotoxicity, 88 percent of patients had
8 hepatotoxicity and there were three patients in which
9 either frank malignancy or an unexplained generalized
10 lymphadenopathy occurred.

11 In summary, the submitted Phase II study
12 of Paxene in patients with previously treated Kaposi's
13 sarcoma should be considered an adequate and well
14 controlled study of objective tumor response. The
15 objective response to Paxene in this patient
16 population may be a clear demonstration of anti-tumor
17 activity with the comparator in this case being the
18 known natural history that the tumors do not shrink
19 without treatment. And the overall objective tumor
20 response rate was well documented at 42 percent of
21 patients.

22 However, proof of clinical benefit is less
23 clear with improvement in only 25 percent of patients
24 with disfiguring facial lesions, nine percent of
25 patients with foot lesions, 12 percent of patients who

1 had lymphedema and 60 percent of a very small subset
2 of patients with lung involvement.

3 The study was not adequate nor well
4 controlled to evaluate the secondary end points of
5 time to progression, duration to response, or
6 survival. Thank you.

7 CHAIRMAN DUTCHER: Questions from the
8 Committee for the FDA? Dr. Simon?

9 DR. SIMON: Could you say anything about
10 duration of response?

11 DR. KOBAYASHI: Yeah, the overall duration
12 of response is 213 days. Although the reason it is
13 not on the slide is we have not had time to have that
14 confirmed by the statistician.

15 DR. SIMON: That's the median --

16 DR. KOBAYASHI: That's the median duration
17 based on a Kaplan Meier analysis.

18 DR. SCHILSKY: Ken, I had just two
19 questions. You mentioned right at the beginning that
20 the formulation which is proposed for marketing is
21 different from the formulation which was actually
22 studied as under the Phase II study. Could you
23 comment on that any further with respect to the FDA's
24 level of comfort that the proposed formulation is
25 actually equivalent to the formulation for which we

1 have seen data.

2 DR. KOBAYASHI: I think that involves some
3 proprietary considerations. I think perhaps the
4 company would be, or our chemist would be, perhaps,
5 better suited to answer that question. Or perhaps one
6 of my superiors.

7 DR. SCHILSKY: I just think it's going to
8 be a little bit difficult for us to make a judgement
9 about these data --

10 DR. KOBAYASHI: I understand.

11 DR. SCHILSKY: -- if what we have been
12 spending the morning listening to is not even the drug
13 that's being proposed for marketing.

14 DR. KOBAYASHI: I understand.

15 DR. DELAP: Well, I think we are basically
16 satisfied that the data that you have seen is a
17 representative of the data that would be generated if
18 the precise formulation to the market had been
19 studied. And I don't know if the company wants to
20 contribute anything about any differences that there
21 might have been, but there are bridging data that
22 enable us to feel pretty secure that what we are
23 looking at is the reality.

24 DR. SCHILSKY: So if you are secure, then
25 I'm satisfied. And I guess my other questions

1 back to this issue of hepatotoxicity from protease s
2 and I was just wondering if in your review of the dat a
3 whether you were able to sort of get into enoug h
4 detail to figure out if a patient had, say, a n
5 elevated bilirubin attributable to protease inhibitor s
6 and was receiving Paxene at that point in time, did i t
7 appear that the patient had an y greater toxicity from
8 that cycle of Paxene during which the bilirubin wa s
9 elevated?

10 DR. KOBAYASHI: No, I did not. W e
11 conducted analysis comparing the toxicity according t o
12 whether or not the patients had received proteas e
13 inhibitors or not and we broke that down, the hepatic
14 component of that and tried to tease out whether this
15 was isolated hyperbilirubinemia due to proteas e
16 inhibitors or whether or not, or we didn't look a t
17 specifically the subset of patients who had elevated
18 liver functions going into the study and whether o r
19 not they had any different toxicity experience .
20 That's certainly something tha t we will be looking at
21 after this.

22 DR. NORTHFELT: I have another questio n
23 related to protease inhibitor antiretroviral therapy.
24 You mentioned in your closing statement that a goo d
25 control for these data would be the experience that K S

1 does not regress unless it's treated, I presume you
2 meant with chemotherapy.

3 Now, at the coffee break Dr. Aboulafia and
4 I were telling each other our fish stories about
5 regression of KS under the influence of potent
6 antiretroviral therapy with no chemotherapy. We both
7 had patients with pulmonary KS or lymphadenopathies KS
8 which is resolved substantially or completely, in a
9 clinical sense, with no chemotherapy.

10 So, could you just reflect on that a
11 little bit for us? Because we've heard how we don't
12 have very good control on antiretroviral use here.

13 DR. KOBAYASHI: I understand your point.
14 The point being that there is a second potential
15 medication being administered to these patients which
16 could account for these responses. And how can we
17 reliably attribute the observed responses to Paxene as
18 opposed to say the administered protease inhibitors or
19 whatever?

20 I think that's an excellent question and
21 a very important issue. And it highlights the
22 difficulty with interpreting, a couple difficulties
23 actually. The first one is the simple off-the-cuff
24 highlights difficulty with interpreting data from
25 single arm, non-randomized Phase II study in which

1 there is not a concurrent control arm. It als o
2 highlights the difficulty that the pace of medica l
3 progress is rapidly changing a nd we are talking about
4 great improvements in our other -- in treatment fo r
5 AIDS.

6 And so, how to factor that int o designing
7 a study or looking forward to anticipating the nex t
8 step in response to your question, how one woul d
9 design that study given the re alities of patient care
10 in 1997 is a little bit more problematic and one i n
11 which I do not have a ready answer.

12 DR. MARCO: Well, first I want to make a
13 comment that we just, we can't be saying proteas e
14 inhibitor and thinking that they are all alike or tha t
15 all regimens are alike. I mea n, Donald, I'm sure you
16 treat your patients very well and you know exactl y
17 what to give them and what combinations. But ,
18 listening to some of these patients speak and th e
19 therapies that they were given for their KS, I mean i t
20 just shows how patients are not always treat e d
21 properly. It's sort of embarrassing.

22 What my question for you is, I'm havin g
23 trouble with the numbers as fa r as patients that were
24 evaluab le, i.e., if they had more than two cycles of
25 therapy versus the patients that you talked abou t

1 here are patients who, for instance, one had a clearly
2 elevated creatinine that should not have been
3 elevated, or should not have entered on the study
4 under the protocol criteria, that sort of thing.

5 DR. MARCO: Okay, so five you basically
6 threw out.

7 DR. KOBAYASHI: Right.

8 CHAIRMAN DUTCHER: Dr. Temple?

9 DR. TEMPLE: Ken, as part of the response
10 to Dr. Northfelt's question about the adequacy of the
11 historical control that you have patients both on and
12 not on protease inhibitors and look at response rates
13 in both of them. Right?

14 DR. KOBAYASHI: I'm sorry, I was --

15 DR. TEMPLE: Isn't part of the answer to
16 Dr. Northfelt's question about the adequacy of
17 historical control always a very good question to ask
18 in changing circumstances --

19 DR. KOBAYASHI: Yes.

20 DR. TEMPLE: -- that you have patients
21 both on and off protease inhibitors. You are getting
22 smaller sample sizes, of course, by that time, but the
23 response rates are not very different in those two
24 groups?

25 DR. NORTHFELT: Yes, my response to that

1 would be that there is protease inhibitors therapy and
2 then there is protease inhibitor therapy. I mean
3 there are people who have viral loads of a half a
4 million on protease inhibitors and there are people
5 who have viral loads of ten on protease inhibitors .
6 And both of my colleagues here have pointed out that
7 without a real understanding of how well the protease
8 inhibitor therapy is working, you can't know how much
9 it confounds the observations of the chemotherapy.

10 DR. SIMON: Yeah, but some of the patients
11 are not getting any protease inhibitors and they are
12 responding, so --

13 DR. TEMPLE: Yes, so it can't be all that
14 they are on protease inhibitors.

15 DR. NORTHFELT: Agreed. But they may have
16 good immune system response to their HIV that keeps
17 their viral load as low as any protease inhibitor
18 treated patient in the next chair. So, we just don't
19 know enough about these patients I think.

20 DR. TEMPLE: Concurrent controls are
21 better.

22 DR. BRODER: May I respond.

23 CHAIRMAN DUTCHER: Sure.

24 DR. BRODER: I thank the Chair's
25 indulgence. We performed an examination of the

1 duration and the speed with which a response occurred .
2 And there is a definite front loading of response in
3 patients. It does not occur by chance or randomly
4 throughout the observation period. There is a slide
5 which was shown that could be presented again.

6 So there is a highly statistically
7 significant front loading of the responses juxtaposed
8 to the administration of the Paxene. This makes it
9 exceedingly improbable with P values that our
10 statistician could give you, that this is just
11 occurring on a spontaneous basis across the
12 observation period. But there was a front loading of
13 the response rates and I unfortunately can't show the
14 slide, but we'd be happy to provide it to the
15 Committee.

16 DR. ABOULAFIA: Could you go back on the
17 slide on your presentation? And could you just
18 comment on this again, I'm not sure I understood your
19 point here. It looks like you've taken into account
20 disease, visceral involvement and a fair number of
21 moderate number have responded. And I'm not sure I
22 understood, Dr. Kobayashi, were you saying that a
23 small number or a moderate number -- how did you put
24 this data together in terms of a response?

25 DR. KOBAYASHI: This slide is just -- was

1 intended only to bring back from the previous slide,
2 repeat information from a couple of previous slide s
3 all in one place so that the improvement on the four
4 domains of clinical benefit for which we could hav e
5 reliable information and could be put in one place.

6 There is no real point to this slide, it' s
7 simply -- or to this table -- it's simply there as a
8 summary to aid and to deliberations we might want.

9 DR. MARCO: In relation to that, the - -
10 I'm having trouble with the clinical benefit i n
11 completely understanding that the statisticall y
12 significant betterment in appearance mobility an d
13 breathing, you agree with that, correct?

14 DR. KOBAYASHI: Yes.

15 DR. MARCO: But, that's under sort of the
16 general well being versus these which are mor e
17 specific to the lesions. How does this differ fro m
18 what the applicant has shown us?

19 DR. KOBAYASHI: These were previousl y
20 defined as the domains on whic h we would be assessing
21 the response to the patient. One of the problems wit h
22 looking at, with pooling diffe rent subscales from the
23 quality of life data and perhaps our statistica l
24 reviewer could comment a little on this, is that ther e
25 are a little bit -- there are a substantial number of

1 correlations between them. It's a little bit more
2 difficult to interpret.

3 So we felt that, in terms of the quality
4 of life data, that looking at a single response
5 subscale would be better. As I say, in previous
6 applications with AIDS-KS, we've sort of considered
7 these domains to be the ones of the areas of clinical
8 benefit. And I think Dr. Johnson had a comment here
9 wanted to make.

10 DR. MARCO: Well, no, I just, but
11 basically the sponsor showed us these beautiful graphs
12 with these great P values and I mean either I'm
13 getting it wrong or --

14 DR. JOHNSON: I think the, you are talking
15 about two different things here. This is lesions that
16 can be verified. In other words, we based our
17 analysis here based on photographs of these lesions.
18 I think it's fairly objective.

19 The quality of life data that the sponsor
20 presented and that you are thinking about is these
21 patients' analysis of whether the patient has
22 improved. And we have some difficulties with that for
23 methodological reasons that have previously been
24 described. But the slides that the sponsor showed
25 were based on the quality of life scales. These are

1 based on physical examination by the --

2 DR. MARCO: But you are considerin g
3 physical examination, thus counting of lesions ,
4 clinical benefit.

5 DR. TEMPLE: No, these are individua l
6 patients who were thought to have had a persuasiv e
7 improvement by photographs, so rt of one by one. It's
8 just different from an analysis scales or quality of
9 life questionnaire.

10 It's not that they are inconsistent. The y
11 are just different ways of getting at the same kind o f
12 thing. And in this setting, there is a certai n
13 feeling that a response like that speaks for itself.
14 If you have lesions all over your face and then they
15 are gone, it's sort of obvious that was a benefit .
16 And that's why these are -- th ere aren't that many of
17 them, which is the point Ken made, but the ones that
18 there are seem real.

19 DR. LI: I would add that this is perhaps
20 the most conservative assessment because these are th e
21 ones where you can, as a dispassionate observer, Dr.
22 Kobayashi was able to look at these pictures and say
23 yes, you know, in this patient clearly the facia l
24 lesion got better. It's not to say that the lesions
25 didn't get better in some of the patients, just that

1 he could look at photographs and say I wasn't there,
2 I didn't see the patient, but just looking at these
3 photographs I can verify that in this particular one.
4 So I think these are kind of the most conservative
5 view of data, but they are not inconsistent with the
6 other views of the data.

7 DR. MARCO: I'm just having -- I
8 understand that. I just having trouble with the
9 semantics of it.

10 DR. KOBAYASHI: Oh, I'm sorry. I
11 misunderstood the question.

12 CHAIRMAN DUTCHER: Any other questions,
13 comments? Thank you. Okay, it's time to open the
14 discussion. Are there any other comments? Should we
15 go directly to the questions?

16 DR. NORTHFELT: Dr. Dutcher, could I just
17 make a couple of comments? Thanks. I just wanted to
18 make a couple of general comments about the nature of
19 the research that goes on in bringing these drugs to
20 review like this. I want to kvetch a little bit more
21 about the response criteria, but I'll be very brief,
22 I promise. And then I want to say something about
23 natural history. I hope this will be of some value to
24 the other members of the Committee. I think that's
25 why David and I are here today.

1 First of all, the response criteria, I
2 think Dr. Kobayashi did a very excellent job of
3 showing how difficult it is to interpret the response
4 data that are generated using these sets of response
5 criteria. And, that is not the fault of the sponsor
6 of the study. These response criteria have been
7 foisted on them and on the KS-afflicted community by
8 us in the clinical science community that can't do a
9 better job of defining what constitutes a response to
10 therapy in this disease. And there has been a
11 struggle going on for ten years to try to create
12 response criteria that actually expressed something
13 meaningful about the way KS responds to treatment.

14 I think the clinical relevance of these
15 response criterias that are in common use, the
16 clinical relevance of those is very dubious. I don't
17 think there is any reliable or reproducible
18 relationship to anything clinically relevant using
19 these response criteria. In other words, you can make
20 the thing flat but not help a guy, or a thing can stay
21 bumpy but he can still be helped by the treatment.
22 And these response criteria do not express that.

23 Again, it is not the sponsor's fault.
24 They were stuck with these things and they were
25 struggling to the best of their ability, I think, to

1 show us that the drug does something. You know, but
2 they are very handicapped by this monster that we have
3 created in clinical science which is the response
4 criteria.

5 Fortunately, thank God, there is a way out
6 of this eventually and then this Committee won't be
7 burdened with this problem anymore. There is this
8 effort that was mentioned with the NCI and the FDA and
9 the AIDS Malignancies Consortium to create some
10 meaningful response criteria. I know there are people
11 in this room who are developing other new drugs for KS
12 that they hope to bring to this Committee's attention
13 some day. So, please avail yourselves of the efforts
14 that are being made by this Committee.

15 Dr. Murgo who is sitting here from the FDA
16 who is very familiar with this is participating in
17 creating these criteria. And I think it's going to be
18 a major advance in our ability to really understand
19 how KS therapy works. I just want to read very
20 quickly from the abstract that describes this effort.
21 This was presented at the AIDS Malignancies conference
22 this spring. Dr. Feigel was the lead author and she
23 said:

24 "Evaluation of clinical
25 benefit is complex in

1 KS. The new criteri a
2 will focus on tumo r
3 specific symptoms ,
4 including evaluatio n
5 both from the physician
6 and p a t i e n t
7 p e r s p e c t i v e s .
8 Categories considere d
9 significant include
10 p a i n , e d e m a ,
11 p a r t i c u l a r l y
12 extremities, scrotal
13 and facial edema ,
14 facial and ora l
15 lesions, visceral -
16 related symptoms and
17 necrosis or ulceratio n
18 of lesions."

19 So there you go, there is a nice list of actual ,
20 meaningful, clinical benefits that might derive from
21 effective therapy.

22 And as soon as those criteria ar e
23 developed fully and put into place, we won't have to
24 go through this all and more importantly, Dr .
25 Kobayashi, Dr. Murgo and his c olleagues won't have to

1 go through the difficulty of trying to extract
2 something meaningful from these data.

3 I also want to comment about something
4 that appeared in the sponsor's information that we
5 were provided. They tabulated response data from a
6 number of studies of KS therapy going back over the
7 years, and I think it should be brought to the
8 Committee's attention that KS is different now than it
9 was five years ago, or ten years ago. KS has changed
10 profoundly over the course of the ten years that I've
11 been caring for patients with this illness. We can
12 use data on therapy for colon cancer from the 1980s.
13 We can use data on chemotherapy for breast cancer from
14 the 1980s to treat patients that we see today.

15 I don't believe that's possible with
16 Kaposi's sarcoma. The natural history of the disease
17 is changing before our eyes. The therapies for
18 underlying HIV-related immune deficiency, as we have
19 heard, are changing before our eyes. And so it's very
20 difficult, I think, to look back more than a couple of
21 years and really think that you are understanding
22 what's going on with this disease.

23 I also wanted to point out, finally, that
24 we heard comments from, I think, seven patients today
25 who are on the study, and that's about ten percent of

1 the evaluable patients in the study, I think. So we
2 had just before our eyes here, at least a ten percent
3 response rate I think. And with that, I think a good
4 case could be made that this is an approvable drug.

5 You know, there are a lot of caveats that
6 we have been talking about all morning here, but we
7 just heard from ten angels who are perched on our
8 shoulders here this morning, telling us that something
9 very healthful happened in their lives. And I think
10 of everything that we've heard this morning, perhaps
11 the sponsors should have highlighted their
12 contribution to these patients. And I particularly
13 want to thank them. I think they have brought
14 something very meaningful to the eyes and ears of the
15 panel.

16 CHAIRMAN DUTCHER: Thank you for your
17 comments. All right. Should we go on to questions?
18 All right.

19 This is question number one. "Is Paxene
20 study size of 89 patients adequate for approval of a
21 drugs for use after failure of a first-line or
22 subsequent systemic chemotherapy for the treatment of
23 advanced AIDS-related Kaposi's sarcoma?"

24 All those who feel that this is a
25 adequate well controlled study and that the data

1 presented are sufficient for evaluation, please raise
2 your hand. High.

3 One, two, three, four, five, six, seven,
4 eight, nine, ten, eleven.

5 Question number two. If you look at your
6 summaries that were in the blue folder, you have
7 question number two has several tables in it that
8 reiterate the data analysis.

9 DR. MARCO: Dr. Dutcher, can I make one
10 quick comment about question number one?

11 CHAIRMAN DUTCHER: Sure.

12 DR. MARCO: If I might. Granted the
13 applicant showed us that this is actually the largest
14 patient pool for a study for second-line KS. And
15 that's great, but, and these studies are very
16 difficult to do, especially because the instance of KS
17 might go down. But I just want -- others in the room
18 who are developing drugs who are hoping to get their
19 drug approved for KS, whether it be used for KS or
20 possibly another cancer in the future, just being able
21 to come to the FDA with such a small sample size to
22 get your drug approved on the fast track, is
23 problematic. So I think we need to start holding
24 companies to a higher standard and for larger patient
25 studies when they come to us in the future.

1 DR. SCHILSKY: I wonder if we could ask
2 for clarification for our benefit from FDA, not so
3 much about the sample size which I don't agree with
4 anything you said, but about the study design. It was
5 my recollection from one of the written documents that
6 this submission is not able to be considered for
7 accelerated approval.

8 If that's the case, I think we'd like to
9 be clear on what the regulatory issues are. Because
10 if it's not to be considered for accelerated approval,
11 then does that put it -- do we need to be considering
12 it with respect to whether there is appropriate
13 comparator data, you know since we don't have a
14 randomized trial. Maybe Bob you could clarify some of
15 those issues.

16 DR. TEMPLE: Well, accelerated approval
17 refers to willingness to approve a drug on the basis
18 of a surrogate end point that has nothing overt to do
19 with clinical benefit. It was not our view here that
20 there was need for use of that consideration here
21 because, as Ken showed you, there are at least 12 or
22 13 people who had persuasive clinical benefit, and you
23 heard probably some of those people on that slide
24 talking here today.

25 So, despite its name accelerated approval,

1 it's not an advantage to be under accelerated
2 approval. It means you don't have actual clinical
3 benefit demonstrated. The feeling here was that in
4 this case there is.

5 The question is how much data you need and
6 whether this is an adequate and well controlled study,
7 albeit historically controlled study, is the sort of
8 thing we invite you to discuss. Studies without
9 control groups, without concurrent control groups are
10 not our favorite kind of study because we like easy
11 decisions. And every time you have a non-concurrentl y
12 controlled study you have various agonies about ho w
13 plausible the control is. And when the environment is
14 changing, there are even more such agonies.

15 But, accelerated or not accelerated
16 doesn't go to that question. The requirement fo r
17 accelerated approval is adequate and well controlled
18 studies that support the effect on the surrogate. And
19 in this case, we are certainly mindful of the fac t
20 that we have information about paclitaxel and it' s
21 safety and things like that. So we are looking at a
22 new use in a different population of a drug and th e
23 size of the database one expects there at leas t
24 related to safety might be different from what yo u
25 would expect if you were working up a drug de nov o

1 that had never been in people before.

2 CHAIRMAN DUTCHER: Okay. Question number
3 two. Paxene study resulted in a 42 percent objective
4 response rate in 89 patients using protocol specified
5 criteria. In an analysis using only eligible
6 patients, the objective response rate was 46 percent.
7 You may refer to the tables. The question being asked
8 "Does the Paxene study show patient benefit based on
9 the 42 percent cutaneous tumor response, the clinical
10 benefit assessments and the quality of life
11 assessments?"

12 Any discussion?

13 [No response.]

14 CHAIRMAN DUTCHER: Okay. All those who
15 feel that the study does show patient benefit, please
16 raise your hand. High. One, two, three, four, five,
17 six, seven, eight, nine, ten, eleven. The vote is 11
18 yes.

19 Question number three. "Is the Paxene
20 safety acceptable in view of the efficacy results and
21 results available with alternative therapy?" All
22 those who would say yes, please raise your hand.

23 DR. SWAIN: I'd just like to make one
24 comment. I would definitely like to see more of the
25 patitoxicity data looked at.

1 CHAIRMAN DUTCHER: Okay. Wit h
2 clarification of that patitoxicity particularly in th e
3 situation of protease inhibitors, is the Paxene safet y
4 acceptable in view of the efficacy results? Yes ?
5 One, two, three, four, five, s ix, seven, eight, nine,
6 ten, eleven. The vote is eleven yes.

7 Question number four. "Is the Paxene NDA
8 approvable for the indication of use after failure of
9 first-line or subsequent systemic chemotherapy for th e
10 treatment of advanced AIDS-rel ated Kaposi's sarcoma?"
11 Dr. Ozols?

12 DR. OZOLS: Well here I think you have to
13 address -- I mean, that's pret ty broad. Three months
14 ago we approved another drug. So how does that relat e
15 to Taxol? What about a patient who has received Taxo l
16 already for this indication, for basically the sam e
17 indication that has progressed or stopped responding?
18 Are we saying that they should also be candidates for
19 Paxene?

20 CHAIRMAN DUTCHER: Dr. Johnson says no .
21 Okay.

22 DR. JOHNSON: I think we thoug ht that was
23 obvious.

24 DR. OZOLS: Well, I mean are they the sam e
25 drug, are they different drugs ? Are you going to say

1 they are different formula drugs and there is
2 different proprietary drugs, they may have different
3 responses, toxicities? All that's been alluded to.

4 Are you saying that this is identical to
5 Taxol?

6 DR. JOHNSON: We are not saying. That's
7 yet to be determined.

8 DR. TEMPLE: That's not fundamentall y
9 different from what you make of the situation whenever
10 there are two manufacturers who make the same active
11 moi dient to two different drug products. Usually you r
12 thought is if you failed on one thing, you wouldn' t
13 try the generic.

14 DR. OZOLS: Right.

15 DR. TEMPLE: If that were what the cas e
16 was. But we have, I must say we have not actuall y
17 told people that for reasons John just alluded to. We
18 thought that was fairly clear. Different formulation ,
19 you know. One package in lipo somal, one not. that's
20 a different question. But usually one thinks tha t
21 they are pretty similar with respect to respons e
22 rates. Of course, you have no data on that.

23 DR. OZOLS: Right.

24 CHAIRMAN DUTCHER: Any other comment?

25 [No response.]

1 CHAIRMAN DUTCHER: Okay. Is Paxen e
2 approvable for the indication of use after failure of
3 first-line or subsequent systemic therapy fo r
4 treatment of advanced AIDS-related Kaposi's sarcoma?
5 All those who vote yes? One, two, three, four, five,
6 six, seven, eight, nine, ten, eleven. The vote i s
7 eleven yes.

8 Any other comments?

9 [No response.]

10 CHAIRMAN DUTCHER: Thank you very much .
11 The meeting is adjourned.

12 (Whereupon, the above matter was conclude d
13 at 12:22 p.m.)

14

15

16

17

18

19

20

21

22

23

24

25

1

2

3

4

5

6